

Transcribed Interview of Dr. Ralph Baric

On April 10, 2026, Dr. Ralph Baric participated in a voluntary transcribed interview with Chairman Rand Paul's staff as part of the Committee's ongoing investigation into the origins of COVID-19 and risky taxpayer-funded life sciences research.

KEY FINDINGS: PART ONE

DARPA Solicited Gain-of-Function Research Proposals Under PREEMPT Program

Dr. Baric testified that the DARPA PREEMPT solicitation – which called on researchers to learn what makes a virus jump between species “down to the nucleotide level” – could be answered only one way: ***“That’s a gain-of-function experiment. There’s no other way to think about it.”*** As for DEFUSE, the proposal he submitted with Peter Daszak of EcoHealth Alliance and Zhengli Shi of the Wuhan Institute of Virology, he confirmed that the step of inserting a furin cleavage site into a viral backbone was his to perform: ***“That part would be done by me.”*** The furin cleavage site is the very feature of SARS-CoV-2 that first drew lab-origin scrutiny.

Undisclosed Baric Experiment Undercuts Key Argument in Proximal Origins Paper That COVID Wasn't Engineered

One of the main scientific papers arguing COVID arose naturally, ***“The proximal origin of SARS-CoV-2,”*** leaned on a simple assumption: if someone had engineered the virus, they would have built it to latch onto human cells as tightly as possible. Because the real virus doesn't grip in that “ideal” way, the paper treated that as a sign no one designed it. But years earlier, Dr. Baric had actually run an experiment and found the assumption was wrong. When a virus grips too tightly, it gets stuck and can't spread as well. So, a knowledgeable designer would deliberately avoid the “ideal” grip the paper assumed they'd use – meaning the very feature the **Proximal Origin paper** called proof of natural origin is something an engineer might choose on purpose. Dr. Baric said he reported the experiment to NIH and destroyed the materials.

On the Secret Feb. 1 Call — Unexplained Presence, and Conflicting Accounts of Whether He Spoke

Dr. Baric confirmed he was on the February 1, 2020, call with Dr. Fauci, Francis Collins, Jeremy Farrar, and the authors of “Proximal Origins” – yet he appears on no invitation list, could not recall who invited him, and said ***“I couldn't find how I knew about the meeting.”*** Two participants have confirmed to the Committee they were unaware he was even on the call. Dr. Baric also gave conflicting accounts of whether he spoke at all. He first said he stayed silent to avoid ***“chang[ing] the direction of the conversation”*** – then, asked if he introduced himself, said ***“I think so ... we went around and introduced everybody in the room”*** and that he was ***“surprised that they didn't know I was there.”***

Paid U.S. Intelligence Community Biodefense Advisor Sent a Taxpayer-Funded Mouse Model to Wuhan

Dr. Baric – who served for more than a decade as a paid member of the intelligence community's Biological Science Expert Group (BSEG) – confirmed he sent his U.S. taxpayer-funded mouse model to Dr. Shi at WIV. When China later tried to sell that model back to American scientists, Dr. Baric said, ***“What kind of crap is this? This is not ethical behavior.”*** He cast the sharing as compelled – ***“I'm required to share ... with people who request it”*** – and added that if Congress objects, “you all should write regulations that prevent that.”

Five Years to Disclose EcoHealth's USAID Funding on the 2015 WIV Paper with Dr. Shi

On November 7, 2015, Peter Daszak sent an email marked urgent – “Please acknowledge USAID PREDICT and our NIAID grant in the paper with Ralph Baric” – and Dr. Shi asked Dr. Baric to add it. The acknowledgment was not added until 2020 – five years later. Asked whether the delay was an attempt to conceal the USAID funding, Dr. Baric answered, ***“I don't know why I would have any interest in doing that.”*** He acknowledged that only ***“90 percent”*** of the research associated with the 2015 paper was completed before the pause. Then-USAID Administrator Samantha Power testified before Congress in 2022 that the agency had ***“no evidence” it funded – and had “not authorized” – gain-of-function research*** – a claim the five-year delay and the eventual USAID acknowledgment cut against.

NIH Could Not Locate Its Own Gain-of-Function Review Records

During Dr. Baric's February 2020 meeting with Dr. Fauci, NIH officials requested copies of his research records – the documents NIH allegedly reviewed years earlier. By Dr. Baric's account, NIH officials ***“didn't know where the documents were.”*** He also testified that the DARPA DEFUSE proposal was not raised in his conversation with Dr. Fauci.

Stenographic Transcript
Before the

COMMITTEE ON
HOMELAND SECURITY AND GOVERNMENTAL AFFAIRS

UNITED STATES SENATE

UNCLASSIFIED
INTERVIEW OF DR. RALPH BARIC,
WILLIAM R. KENAN JR. DISTINGUISHED PROFESSOR IN THE
DEPARTMENT OF EPIDEMIOLOGY, AND PROFESSOR IN THE
DEPARTMENT OF MICROBIOLOGY AND IMMUNOLOGY AT
THE
UNIVERSITY OF NORTH CAROLINA AT CHAPEL HILL

Friday, April 10, 2026

Washington, D.C.

ALDERSON COURT REPORTING
1029 VERMONT AVE, NW
10TH FLOOR
WASHINGTON, DC 20005
(202) 289-2260
www.aldersonreporting.com

1 UNITED STATES OF AMERICA
2 COMMITTEE ON HOMELAND SECURITY AND GOVERNMENTAL AFFAIRS
3
4

5 UNCLASSIFIED

6 INTERVIEW OF DR. RALPH BARIC,
7 WILLIAM R. KENAN JR. DISTINGUISHED PROFESSOR IN THE
8 DEPARTMENT OF EPIDEMIOLOGY, AND PROFESSOR IN THE
9 DEPARTMENT OF MICROBIOLOGY AND IMMUNOLOGY AT THE
10 UNIVERSITY OF NORTH CAROLINA AT CHAPEL HILL
11
12
13
14

15 Room SVC-209
16 United States Capitol Building
17 Washington, D.C.
18 Friday, April 10, 2026
19 9:00 a.m.
20
21
22
23
24
25



1 APPEARANCES:

2
3 ON BEHALF OF THE WITNESS AND THE UNIVERSITY OF NORTH
4 CAROLINA AT CHAPEL HILL:

5 CLARK ERVIN, Senior Counsel, Squire Patton Boggs

6 JAKE GREENBERG, Of Counsel, DLA Piper

7 DAVID T. LAMBETH III, Associate Vice Chancellor,
8 Chief Governance Officer, Special Counsel,
9 University of North Carolina

10
11 ON BEHALF OF HOMELAND SECURITY AND GOVERNMENTAL AFFAIRS,
12 MAJORITY STAFF:

13 WILLIAM HENDERSON, Majority Staff Director

14 HARRY KAZENOFF, Assistant Counsel

15 CHRISTINA SALAZAR, Deputy Staff Director and Chief
16 Counsel

EXHIBITS:

No. 1, Email from Baric to Zhengli Shi, Dated 11/7/15	45
No. 2, Email from Fang Li to Baric, Dated 10/24/18	81
No. 3, Email from Vineet Menarchery re: DARPA Project, Dated 3/7/2019	113

1 P R O C E E D I N G S

2 MR. KAZENOFF: Good morning. This is a voluntary,
3 closed-door transcribed interview of Dr. Ralph Baric, being
4 conducted by the Senate Committee on Homeland Security and
5 Governmental Affairs. This interview was requested by
6 Committee Chairman Rand Paul as part of the committee's
7 oversight investigation on government funding of risky
8 research and the origins of the COVID-19 pandemic.

9 On March 18, 2026, the witness agreed to appear before
10 the committee on April 10, 2026. For the record, during
11 the transcribed interview the committee will be bound
12 solely by Senate and Committee rules. We will adhere to
13 them. Do you understand?

14 DR. BARIC: I agree, but I don't know what the Senate
15 rules are.

16 MR. ERVIN: We've reviewed them.

17 DR. BARIC: I figured you all know, right.

18 MR. KAZENOFF: You are here voluntarily.
19 Nevertheless, under Title 18 Section 1001 you are required
20 to answer questions from Congress truthfully, including
21 questions posed by congressional staff during this
22 interview. Do you understand?

23 DR. BARIC: I do.

24 MR. KAZENOFF: Is there any reason that you would be
25 unable to provide us today with truthful answers to our



1 questions?

2 DR. BARIC: Not that I know of, assuming that I
3 remember.

4 MR. KAZENOFF: We will proceed to make introductions
5 of the office conducting this interview today. Then we
6 will explain how we intend to proceed. My name is Harry
7 Kazenoff. I am the Assistant Counsel. And to my far right
8 is Christina Salazar, Deputy Staff Director and Chief
9 Counsel for the Majority Staff of the Committee.
10 Additionally, we have Mr. William Henderson, Staff Director
11 of the Majority Staff of the Committee.

12 Additional majority staff are present, but we do not
13 currently intend for them to speak during the duration of
14 this interview, though we reserve the right to amend, as
15 needed. Any new majority staff who ask a question will
16 introduce themselves for the record.

17 And with that, will the witness please state and spell
18 their name for the record.

19 DR. BARIC: My name is Ralph Steven Baric. The
20 spelling is R-A-L-P-H S-T-E-V-E-N B-A-R-I-C.

21 MR. KAZENOFF: Do you have any attorneys representing
22 you, either in a personal capacity or under a limited
23 capacity present with you today?

24 DR. BARIC: I do.

25 MR. KAZENOFF: For each counsel, please state and



1 spell your full name and identify the client you're
2 representing.

3 MR. ERVIN: I'm Clark Kent Ervin with Squire Patton
4 Boggs, and I'm Counsel to the University for purposes of
5 this interview with Dr. Baric.

6 MR. LAMBETH: David Lambeth, Counsel for UNC Chapel
7 Hill and Dr. Baric as an employee.

8 MR. GREENBERG: Jake Greenberg with DLA Piper. I
9 represent the University of North Carolina at Chapel Hill
10 and Dr. Baric.

11 MR. KAZENOFF: This interview will be conducted in two
12 parts. The first portion of the interview will be
13 conducted in an unclassified setting. Only unclassified
14 information will be discussed during the first portion. We
15 will not intentionally ask a question during the first
16 portion of the interview that we believe could implicate
17 classified information. However, if a question is asked
18 that would require you to disclose classified information
19 in your answer, or if you are genuinely unsure, please
20 state that for the record and we will re-ask the question
21 in the second portion of the interview.

22 At noon, we will recess and the witness and properly
23 cleared majority staff and witness counsel will relocate to
24 the Office of Senate Security where the second portion of
25 the interview will be conducted in a sensitive



1 compartmented information facility. Do you have any
2 questions about how we plan to proceed?

3 DR. BARIC: No.

4 MR. KAZENOFF: There is a court reporter taking down
5 everything that is said and will make a written record of
6 the interview. Please provide a verbal response. For the
7 record to be clear it is important that only one person
8 speak at a time. Do you understand?

9 DR. BARIC: I do.

10 MR. KAZENOFF: Counsel will have an opportunity to
11 review the transcript and provide an errata expeditiously
12 following the interview. We want to make clear that we are
13 only bound by Senate and Committee rules and not any common
14 law privilege, rules of civil procedure, or any equivalent
15 extrinsic doctrines. Do you understand?

16 DR. BARIC: I do.

17 MR. KAZENOFF: This interview today is being conducted
18 without prejudice to any future discussions the committee
19 may require, and we reserve the right to request your
20 participation in future interviews or depositions, should
21 we need to. Do you understand?

22 DR. BARIC: Yes.

23 MR. KAZENOFF: Finally, we would ask that you not
24 speak about what we discuss in today's interview outside of
25 the room, to help us maintain the integrity of our



1 investigation. And we also ask that you not remove or make
2 any copies or photographs of any materials or exhibits. Do
3 you understand?

4 DR. BARIC: My wife is going to insist that she knows
5 what happens in this meeting. I hope that's okay.

6 MR. KAZENOFF: Do you have any questions before we
7 begin?

8 DR. BARIC: No.

9 MR. KAZENOFF: Thank you.

10 MS. SALAZAR: Well, thank you for being here, and we
11 thank you for being here voluntarily. We appreciate it.
12 I've been working with your counsel and thank you. We're
13 going to conduct this in two parts. We have a lot of
14 questions for you, but I intend for this to be
15 conversational. We're happy you're here. We've obviously
16 been looking at this for a long time. It is 2026. But we
17 haven't had a chance to engage directly, but your team has
18 provided us documents, and we appreciate that. So, you
19 know, I wanted to kind of be up front with you, where I'm
20 coming from, where the Chairman is coming from. I think a
21 lot of people are like, "It's 2026. Why are we still
22 talking about COVID, COVID origins?"

23 The reason that we asked you here today is because we
24 feel like we don't have all the answers, not specifically
25 from you but generally from our government. The other day



1 I accidentally went on Twitter, and there was a debate on
2 Twitter again over whether it was a lab leak or not,
3 renewed debate. So this is something that, you know, it's
4 not settled. I don't know if it will ever be settled.

5 But from our perspective there are still a lot of
6 outstanding questions about taxpayer-funded research. We
7 shut down our economy. We withheld children from going to
8 school. And those may have been necessary responses, may
9 not have been. But in order for us to make changes or
10 assess the situation we have to understand what happened.
11 And that's why we're here, six years later.

12 So I just wanted to lay that out. We're not accusing
13 anyone of anything. We're genuinely just trying to get to
14 the truth, or at least try to understand it to the best
15 that we can.

16 DR. BARIC: It's a pleasure to be here.

17 MS. SALAZAR: Thank you. I wanted to start,
18 obviously, you gave testimony in the House.

19 DR. BARIC: I did.

20 MS. SALAZAR: And I wanted to just start -- I know
21 this may be a loaded question, but is there anything from
22 that testimony that you would like to, off the bat, clarify
23 or generally -- and we may talk about that today, but I
24 just wanted to see if there was any portions of that
25 testimony that you wanted to clarify or provide any



1 statements on.

2 DR. BARIC: Nothing comes to mind, but if you have
3 questions on that testimony I'll be glad to give you my
4 current thinking.

5 MS. SALAZAR: Okay. Great. Well, let's start early
6 outbreak. So when was the first you heard of the outbreak?

7 DR. BARIC: A notice went up on ProMed about a new
8 respiratory infection in Wuhan on December 31st, and I
9 immediately got phone calls from several collaborators who
10 were letting me know about it. I didn't find out it was a
11 coronavirus until about the 4th of January, when a
12 colleague of mine at the University of Virginia asked me to
13 participate in a phone call with some Chinese researchers
14 to try to get them in contact with Gilead to set up trials
15 with remdisivir.

16 MS. SALAZAR: And you said that was University of
17 Virginia?

18 DR. BARIC: University of Virginia.

19 MS. SALAZAR: And who was that?

20 DR. BARIC: I knew you were going to ask that
21 question. At some point the neuron will fire.

22 MS. SALAZAR: So you said that was January 4th?

23 DR. BARIC: Yeah, yeah. It's in the testimony, I
24 think, in the House. Yeah.

25 MS. SALAZAR: And had you already been working with



1 Gilead?

2 DR. BARIC: Oh, we started working with Gilead in 2016
3 on a work on identifying broad-spectrum, small molecule
4 inhibitors against the coronavirus family.

5 MS. SALAZAR: Okay. And I'm going to jump around,
6 based on our conversation, so I'm going to go ahead and ask
7 this now.

8 DR. BARIC: Sure.

9 MS. SALAZAR: Did you serve as an expert consultant
10 for Gilead in terms of their patent dispute against AMMS?

11 DR. BARIC: No.

12 MS. SALAZAR: Oh, you didn't?

13 DR. BARIC: Not that I'm aware of.

14 MS. SALAZAR: Okay.

15 DR. BARIC: Oh, wait, wait. Which patent dispute is
16 this? Is this in Europe?

17 MS. SALAZAR: Are there multiples? Yes.

18 DR. BARIC: Yes, okay. Sorry.

19 MS. SALAZAR: I didn't know there were multiple --

20 DR. BARIC: I had forgotten. They had asked me to
21 make a statement about that, and I had forgotten about
22 that, but make a statement about that, so I guess two or
23 three years ago. And I haven't heard much about what was
24 going on so I kind of left it behind. But yes, I gave them
25 a written statement.



1 MS. SALAZAR: I was just wondering if that was the
2 same, you know, the same type of research that you were
3 engaged in on the 4th, or that you were discussing on the
4 4th. Is that the same?

5 DR. BARIC: Yes, that's the same. That's the same
6 phone call, yes. That's absolutely true.

7 MS. SALAZAR: Okay. And so on the 4th you had that
8 call, and then I know that you had a call, I think, with
9 Erik Stemmy on the 7th?

10 DR. BARIC: Around the 7th. There were a lot of phone
11 calls in the first three weeks of January. But certainly I
12 did talk to him. The exact date, I'd have to look at my
13 notes. I thought it was more around the 11th or 12th, but
14 it could easily be the 7th.

15 MS. SALAZAR: Was that the first NIH employee that you
16 had spoken to, or had you spoken with others?

17 DR. BARIC: Somewhere around those dates I also talked
18 to Barney Graham at the VRC, because we'd been working
19 since about 2017 on mRNA-LNP-based vaccines using MERS
20 coronavirus as, in essence, a paradigm model for how to
21 develop an effective vaccine against coronavirus.

22 MS. SALAZAR: Did you already have a vaccine developed
23 with them, or was it still --

24 DR. BARIC: We had an mRNA vaccine for MERS
25 coronavirus that had been tested in mice, both young and



1 old mice, and it was extremely effective. I think that
2 data was immediately incorporated into the discussions,
3 that I was not part of, but discussions at the Federal
4 level, in terms of prioritizing which vaccines might go
5 forward.

6 So the reason, I think, that we were particularly so
7 excited about that data is that most of the vaccines that I
8 have tested against coronaviruses, epidemic or pandemic,
9 not pandemic, epidemic coronaviruses like the original SARS
10 2003 strain, or the MERS strain, worked effectively in
11 young animals. But when you took them into aged animals,
12 where the vaccines are less affected by about tenfold, the
13 vaccines would fail. And the beauty about the VRC mRNA
14 vaccine was that the neutralizing titers in the immune
15 responses were as effective in the old animals as the young
16 animals, so they were fully protected. And that was very
17 impressive.

18 MS. SALAZAR: And so the VRC Moderna vaccine.

19 DR. BARIC: Yeah. Moderna certainly was engaged.
20 Whether they actually manufactured the vaccine that was put
21 in the animals or not, or whether NIH made that vaccine and
22 put it in, I don't know.

23 MS. SALAZAR: And your vaccine, what was it called?

24 DR. BARIC: Oh, the Moderna vaccine?

25 MS. SALAZAR: No, no, no. Yours, the one that you had



1 worked with.

2 DR. BARIC: Oh, we compared it to a killed vaccine.
3 We'd also tested alpha virus-based vaccines, Venezuelan
4 equine encephalitis replicon particles. It was basically
5 an RNA virus where the structural genes have been removed,
6 so it's single hit. It can only infect one set of cells.
7 It delivers the payload gene, in this case the coronavirus
8 antigen. That induces an immune response that is either
9 protective or not, depending on the model system.

10 The other was killed vaccines. In 2003, the NIH had
11 purchased two, or the Federal Government had purchased two
12 \$20 million killed vaccines to test against SARS
13 coronavirus.

14 MS. SALAZAR: What's a killed vaccine?

15 DR. BARIC: They took the SARS coronavirus and then
16 they inactivated it with a chemical inactivation, a UV
17 light. So they killed the virus so that all that was left
18 was the antigenic preparation, and that's put in the
19 animal. So killed vaccines are pretty commonly used in
20 humans.

21 MS. SALAZAR: Okay. And the vaccine, the MERS
22 vaccine, is that the CisVax [sic] vaccine that I saw in one
23 of your grant proposals, was called CisVax?

24 DR. BARIC: CisVax?

25 MS. SALAZAR: I think. If you don't know.



1 DR. BARIC: I've read a lot of proposals. You're
2 going to have to show me the proposal and then I can tell
3 you.

4 MS. SALAZAR: If not, I was just trying to tie it back
5 to something. Okay. So I have some notes, and I think
6 your call was around the 7th.

7 DR. BARIC: I believe you, but I just don't recall the
8 exact date.

9 MS. SALAZAR: Do you recall what you all discussed?

10 DR. BARIC: Not in any detail. I can probably give
11 you an estimate of what we talked about, was that there was
12 a new coronavirus that was being identified, and it would
13 be logical for the NIH to contact me and talk to me about
14 what it was. Although the 7th would've been a little
15 early. I guess it was around the 7th or 8th that I
16 actually got the sequence of the virus. Before that I'd
17 been verbally told by the Chinese that it was 78 percent
18 identical to the original SARS coronavirus 2003 strain.

19 MS. SALAZAR: And who told you that?

20 DR. BARIC: Ben Cao was on the line. It was Fred
21 Hayden. I knew I'd eventually remember Fred's name from
22 University of Virginia. That's going to happen a lot, by
23 the way. And the other person on the call was Peter Horby
24 from England.

25 MR. ERVIN: Do you mean Georgia?



1 DR. BARIC: No, not the Georgia guy, no. The reason
2 they called me was that they had worked as sort of an
3 international team to set up clinical trials for
4 respiratory viruses, mostly focused on flu, and China --
5 flu or small molecule inhibitors. Sorry, I forgot the
6 second part. And so they were very interested in initially
7 talking about using remdisivir in their clinical trials,
8 which they eventually did in January.

9 MS. SALAZAR: Which you were also involved in.

10 DR. BARIC: No. My role was to call up Gilead
11 collaborators and say, "The Chinese want to talk to you,"
12 and that's what I did.

13 MS. SALAZAR: Did any of your research lead to --

14 DR. BARIC: We had a paper that was coming out in
15 Nature Communications on January 10th, and so I called the
16 journal and asked them if it was okay if I could share it
17 with the Chinese and the other people on the call, so we
18 did.

19 MS. SALAZAR: And so I have a little bit of
20 information that might refresh your recollection. You just
21 tell me if this sounds familiar. So Peter Daszak was also
22 on the call. Does that refresh your --

23 DR. BARIC: Not with the Chinese.

24 MS. SALAZAR: Not with the Chinese. With Eric Stemmy.

25 DR. BARIC: Oh, he may have been. Yes, he may have



1 been.

2 MS. SALAZAR: And it sounds like, the notes that I
3 have -- and again, if you don't recall this, just let me
4 know -- it appears that you may have mentioned that you had
5 some confidentiality issue with remdesivir, within Nature
6 Communications.

7 DR. BARIC: Yeah.

8 MS. SALAZAR: Okay. And that you were willing to
9 share what you knew with leadership at NIH, but that you
10 couldn't share it. You had to have some kind of assurance
11 that it wouldn't be shared more broadly.

12 DR. BARIC: I don't recall this, but he may have been
13 wanting a copy of the paper that I just mentioned. I may
14 have sent it to them. I don't see why I wouldn't. The big
15 question would be whether Gilead would have a problem, not
16 that I would have a problem with it.

17 MS. SALAZAR: So what's the confidentiality agreement
18 when you're --

19 DR. BARIC: So when the journal tells you the paper is
20 in press, they say you're not allowed to report it until
21 it's published. So to send the paper around to other
22 individuals in the final form could be breaking that
23 confidentiality agreement.

24 MS. SALAZAR: And so what information did you have
25 from the paper?



1 DR. BARIC: So the paper did a comparison of the
2 effectiveness of remdisivir against two HIV drugs,
3 lopinavir and another drug, which I'm blanking on. There
4 had been several reports by Chinese researchers that the
5 second drug was effective in treating SARS coronavirus.
6 Personally, again, I'd have to reread the papers. I
7 haven't read them in years. But my recollection is that
8 the papers compared the treatment of this HIV therapy to
9 historic controls. And so they didn't have as many males
10 in the study, in the two comparisons. Males have more
11 severe disease, so it looked like this HIV therapy was
12 effective. It was ritonavir, the other drug.

13 And so the Chinese were planning on using massive
14 treatment regiments in their population, using this HIV
15 therapy, and the paper clearly shows that that therapy
16 doesn't work.

17 MS. SALAZAR: They were going to use it on what?

18 DR. BARIC: Humans.

19 MS. SALAZAR: But for all coronaviruses? For flu?

20 DR. BARIC: Their cases of SAR-2, of COVID-19, in
21 January of 2020.

22 MS. SALAZAR: So they were already publishing a paper.

23 DR. BARIC: No.

24 MS. SALAZAR: Okay. I just want to make sure I
25 understand.



1 DR. BARIC: Let me step back. So historically they
2 published a paper showing that this drug combination might
3 be effective against emerging coronavirus infections, using
4 SARS as their model. We did a head-to-head comparison of
5 remdesivir versus this drug, and had submitted it to Nature
6 Communications for publication. And that was in 2019, and
7 the paper was going to be published on January 10, 2020.
8 On the 7th or so, or on the first call, they wanted me to
9 share that paper with them so that they could evaluate what
10 they were going to do for treating their COVID-19 patients.

11 MS. SALAZAR: At NIH.

12 DR. BARIC: No. China. We didn't have any cases on
13 January 7th, 2020, in the U.S.

14 MS. SALAZAR: I just want to make sure I understand.
15 You received information from the Chinese about the SARS-
16 COVID-2 outbreak.

17 DR. BARIC: I told you that. I think it was around
18 the 4th, that told me it was a coronavirus related to SARS,
19 that was 78 percent identical.

20 MS. SALAZAR: Okay.

21 DR. BARIC: That means basically it has 6,000
22 nucleotides. So if you think about a tree, SARS
23 coronavirus is on one branch of that tree, and SARS-2 is on
24 a totally different branch of that tree.

25 MS. SALAZAR: Okay. And so your conversations with

1 Peter Daszak during that time, when did you start working
2 with Peter Daszak? You had a relationship with him.

3 DR. BARIC: I got to meet him around 2004. I can't
4 tell you exactly when. I mean, scientific meetings, you
5 run into people all the time. But I would guess it would
6 start around 2014 we ran into a meeting, I think at the
7 NEIDL together, and had some discussions. And I visited
8 his place afterwards. We had common interests, but we
9 didn't effectively start working together until later in
10 2014, on some of the work on the 2015 paper, that was
11 published in Nature Medicine.

12 MS. SALAZAR: Okay. Why don't we skip to that.

13 DR. BARIC: I mean, from my perspective, and just
14 looking at it from a scientific perspective, he made major
15 contributions into identifying emerging infectious disease
16 hotspots around the world. He worked extensively with
17 China on SARS coronavirus in 2003. He's sort of a
18 microbial ecologist, very different field than I do. But
19 we would have mutual interests to talk about emerging
20 viruses.

21 MS. SALAZAR: And did he have people on the ground
22 over in Wuhan in early 2020?

23 DR. BARIC: So I was not part of his R01 grant that
24 went from like 2014 to 2019. So on that grant, as you
25 know, part of that money went to the Wuhan Institute of



1 Virology. So I guess you could say he had a collaborative
2 group in China, and I would imagine that he had people
3 moving back and forth between the two, the U.S. and China.

4 MS. SALAZAR: To your knowledge, he didn't an
5 EcoHealth employee or anything like that?

6 DR. BARIC: You'd have to ask him those questions. I
7 wouldn't know.

8 MS. SALAZAR: Okay. And then what about Ian Lipkin?

9 DR. BARIC: Yeah, another good friend from Columbia
10 University. But what's the question?

11 MS. SALAZAR: So my understanding is that he also
12 worked out of the Wuhan CDC at times.

13 DR. BARIC: Ian Lipkin, you'd have to look at his CV,
14 but I believe he received a medal from China for his
15 efforts in the original 2003 SARS coronavirus outbreak,
16 helping them bring it under control. So he is also, not
17 really a viral ecologist. He really does virus discovery,
18 very interested in post-responses to viral infections.
19 He's a global leader in really, I guess, the last 15 years,
20 developing new technologies for rapid detection of unknown
21 pathogens in the environment.

22 MS. SALAZAR: Are you aware of him working out of the
23 Wuhan CDC?

24 DR. BARIC: He told me he'd worked extensively with
25 people in China during the 20- -- I didn't ask him details



1 about whether it was with Wuhan Institute of Virology or
2 not. Again, he would be the person you'd need to ask that
3 of.

4 MS. SALAZAR: And then going back real quick to the
5 RO1 grant. You weren't an official collaborator, or PI,
6 co-PI, until later on. But you did provide a letter of
7 support --

8 DR. BARIC: Yes.

9 MS. SALAZAR: -- for the initial grant in 2014. Is
10 that right?

11 DR. BARIC: Well, I may have. It wouldn't surprise me
12 if I did. If he asked me, I would've, yes.

13 MS. SALAZAR: Okay. And then did you provide any
14 mouse models or anything like that prior to your
15 involvement formally later on?

16 DR. BARIC: To Peter?

17 MS. SALAZAR: To Peter or to, with --

18 DR. BARIC: Okay. Around 2013 or 2014, Zhengli Shi
19 identified two new sarbecoviruses called SHC014 and WIV1.
20 She was able to culture WIV1 in cell culture, and they
21 published a paper in Nature on that. And we met at a
22 meeting and we had some discussions. Ultimately, she
23 provided me with the sequences, and we used that, of the
24 spike, going at the spike like a protein. And so we build
25 chimeric viruses.



1 They also, when the paper was finally being written,
2 they had developed what are called pseudotype viruses.
3 These are, in essence, single-hit replicon viruses that are
4 pseudotyped with the spike like a protein, of different
5 coronaviruses. And they showed that WIV1 could infect and
6 use human H2 receptors. But they also showed that SHC014
7 could not. So when she provided us with those reagents and
8 we recovered viruses, we showed that SHC014, in fact, could
9 use that human receptors for entry. And because they also
10 provided their SHC014 pseudotype data in the paper and
11 provided the virus sequences for this, they were included
12 on the manuscript, and I think their grant was cited. So
13 that's how that worked.

14 MS. SALAZAR: And is that the 2015 paper?

15 DR. BARIC: That's the 2015 paper, yeah. Did you all
16 read that? I'm just wondering, if you read my grants you
17 must be almost numb.

18 MS. SALAZAR: I'm not a scientist. But I am familiar
19 with it. So let's turn to that paper. So that was a topic
20 of, and I'm sure you've read the FOIAs about you since, a
21 topic of great discussion. Early in 2020, February 2020,
22 it seemed like all of a sudden that paper was sent around
23 among several people. I think Fauci sent it out on
24 February 1st to a number of people within the NIH.

25 DR. BARIC: I wouldn't know when he did that, but I



1 believe you.

2 MS. SALAZAR: Were you ever asked about that paper by
3 anyone?

4 DR. BARIC: Yes. On the 13th of February, I believe,
5 13th or 14th -- again, exact day is going to be difficult
6 for me to recollect without notes in front of me -- but on
7 the 13th or 14th I was up in D.C. for a progress report
8 meeting on a grant and also giving a talk at some meeting.
9 So we scheduled a meeting for about an hour with his
10 leadership team, and I met him either at his office or at
11 one of the NIH buildings for the extramural program.

12 MS. SALAZAR: Okay. So that meeting was the 13th or
13 14th?

14 DR. BARIC: 13th or 14th, yes.

15 MS. SALAZAR: It's not the 11th?

16 DR. BARIC: It might be the 11th. I don't think it's
17 the 11th.

18 MS. SALAZAR: Yeah. That's another topic that we
19 wanted to discuss, because I think there's been some
20 confusion just in our documents of when the meeting
21 actually occurred. I think it's been on some calendars for
22 the 11th.

23 DR. BARIC: I know those emails have been FOIA'd
24 multiple times, and it says exactly when I was supposed to
25 meet them and where. So I would guess that they're in the



1 packet that we sent.

2 MR. LAMBETH: I'd be surprised if you didn't have
3 them. If you don't, we can certainly get them for you.

4 MS. SALAZAR: So you were here, physically, in the
5 country on the 11th.

6 DR. BARIC: Yeah. As far as I know.

7 MS. SALAZAR: I feel like you would probably remember
8 that. In early 2020, I guess from January to February to
9 that meeting, were you in the United States the entire
10 time?

11 DR. BARIC: Yes, to the best of my recollection.

12 MS. SALAZAR: If you weren't, I feel like you would've
13 remembered, right?

14 DR. BARIC: I would think so. But you have to realize
15 what January and February were like for me. I had constant
16 calls going on. And so it was a blur. It really was a
17 blur.

18 MS. SALAZAR: One of the calendar invites that we have
19 says that the purpose of the discussion, for you coming in,
20 was to discuss basic research that is gain-of-function,
21 that will further understanding of coronaviruses and corona
22 vaccine development, and two, to address the slowness of
23 the U.S. government P3CO process. Does that sound like the
24 conversation that you had?

25 DR. BARIC: The first part, absolutely. I don't



1 recall much in the discussion of the slowness of the P3CO
2 process. But if it says it in the email then at some point
3 they may have wanted to discuss that. I don't know. Was
4 that something that I said?

5 MS. SALAZAR: No, it wasn't. But in the agenda items
6 that we've seen from other officials, that's the agenda
7 that was on there. But from your House testimony it
8 sounded like that wasn't necessarily what was discussed.

9 DR. BARIC: I don't recall that part being in the
10 House, so I think the House testimony is actually accurate.

11 MS. SALAZAR: So can you let us know what you all
12 talked about and who was present?

13 DR. BARIC: Well, Fauci was present for about 5 to 10
14 minutes max. He got called away for a phone call in a
15 SCIF, and so he left pretty early in the meeting. There
16 was some basic discussion about the new virus. Ultimately,
17 they got to, I think, what they really wanted to talk about
18 was gain-of-function related experiments, or I think it
19 should be potential gain-of-function related experiments,
20 that were associated with this 2015 and 2016 paper. I was
21 a little surprised that they didn't seem to have the
22 paperwork that was involved in my presentation on why I
23 thought this might be more of a loss-of-function than a
24 gain-of-function phenotype. And so then I think a day or
25 so later I sent them all those documents.



1 MS. SALAZAR: So they just didn't have them?

2 DR. BARIC: I don't know. They seemed surprised to
3 me.

4 MS. SALAZAR: Surprised about --

5 DR. BARIC: They seemed uncertain, not surprised.
6 "Uncertain" would be the better word, about the status of
7 these documents, which surprised me.

8 MS. SALAZAR: So that paper was in 2015. It was NIH-
9 funded research.

10 DR. BARIC: If I were to guess, institutional memory,
11 retired, and they didn't know where the documents were,
12 would be my guess.

13 MS. SALAZAR: Is that frequent at NIH?

14 DR. BARIC: It's frequent everywhere in the world.
15 Institutional memory is key.

16 MS. SALAZAR: I would expect, as a government entity
17 that funds a lot of IT modernization efforts and systems
18 for agencies, I'm a little shocked that they don't have a
19 way to pull up taxpayer-funded applications.

20 DR. BARIC: Well, it wouldn't be the application. It
21 would be a specialized series of documents associated with
22 P3CO review. Again, this would have been very early on,
23 too, so the formalized structure for that review wasn't, I
24 think, finalized until '16 or '17, I think, with the NSABB
25 stuff.



1 MS. SALAZAR: But there was a structure.

2 DR. BARIC: There certainly was a structure. They
3 reviewed it, responded, and then asked for responses from
4 me, and then I gave those responses.

5 MS. SALAZAR: And could you tell us a little bit
6 about, at the time, what that process was like, in terms of
7 the P3CO process?

8 DR. BARIC: So in, was it, October 2014, the Obama
9 administration put out the pause. The pause effectively
10 was set up two ways. One way was that if a grant was
11 submitted that had the potential for gain-of-function
12 research it would be reviewed before they funded it. And
13 the second class was if you were already doing P3, or
14 research that might fall under the category, you had the
15 option to either pause it voluntarily or continue it. And
16 in the case of SHC014-WIV work, we paused it voluntarily.
17 The third category was waivers. And so the NIH approved
18 three groups, to my knowledge, to continue to develop a
19 MERS coronavirus mouse model for countermeasured studies.

20 MS. SALAZAR: And you were one of them?

21 DR. BARIC: And we were one of them.

22 MS. SALAZAR: Do you know who the others were?

23 DR. BARIC: I think one group was out of the
24 University of Utah. I can't remember the fellow's name.

25 MS. SALAZAR: Were they individual exemptions, or was



1 it across the board?

2 DR. BARIC: I don't know about their exemptions. Mine
3 was an individualized exemption. I don't know what theirs
4 were. I didn't receive their paperwork.

5 MS. SALAZAR: And what was the process for seeking an
6 exemption at that time?

7 DR. BARIC: I don't remember that, as well. I can't
8 remember the details of that, as well. All I can tell you
9 is that the exemption was approved right before Christmas
10 of 2014.

11 MS. SALAZAR: Okay. And so back to the mid-February
12 meeting, were they just like, did we review it and we were
13 okay with it?

14 DR. BARIC: No. They knew that they had approved it.
15 Again, I would've expected them to have the documents in
16 the meeting, right. That would have made sense. They
17 didn't have the documents, and I don't know why.

18 MS. SALAZAR: And they didn't seem to be aware of
19 documents?

20 DR. BARIC: No. They were aware that there were
21 documents that existed. They didn't seem to have a copy of
22 them, and that surprised me. So I immediately said, "I'll
23 be glad to send you the copies," and the university did, so
24 we did.

25 MS. SALAZAR: Good thing you guys had a copy.



1 DR. BARIC: Well, yeah, really.

2 MS. SALAZAR: I mean, was the conversation, were they
3 worried? What was the tone of it? I mean, we see -- and
4 again, these have been FOIA'd -- there's a spur of sending
5 out that paper, within hours, to multiple different people.
6 Now, you know, a lot of the emails don't have commentary,
7 but it's on February 1st. We know what else happened on
8 February 1st.

9 DR. BARIC: Well, I think you need to put it in
10 context. So in China there was a massive social media
11 barrage of speculation that the 2015 virus was the cause of
12 the pandemic. NIH had to become aware of that at some
13 point, and they would immediately then be worried whether
14 everything was done properly. They had to be. And it's
15 five years back, so again, these are things that are not
16 going to be at the top of anyone's mind. But at the same
17 time, they're going to want to know was everything done
18 properly. So yeah, I think naturally that they would be
19 concerned, naturally they'd want to know, is that virus the
20 cause of the pandemic or not? I mean, if they didn't ask
21 those kinds of question, they would be really
22 irresponsible.

23 MS. SALAZAR: That's kind of irresponsible that they
24 didn't have the paperwork too.

25 DR. BARIC: Well --



1 MS. SALAZAR: That's just my commentary.

2 DR. BARIC: I would guess that most large
3 organizations will take a while to find the paperwork.

4 MS. SALAZAR: So they asked you to provide the
5 paperwork. I mean, did you alert them that this was an
6 accusation that it could have been the 2015 virus? You
7 know, where did that come from?

8 DR. BARIC: Initially it came from the Chinese, social
9 media speculation about what was the cause of the pandemic.
10 The question certainly came up, and I can tell you the same
11 thing that I told them, as far as this virus differs from
12 the SARS coronavirus-2 strain by over 6,000 nucleotides,
13 which means that it's incredibly different. So there's no
14 way it could ever be associated with the emergence of this
15 virus. It's just not possible. Same thing with the WIV1
16 virus. That's been validated by I don't know how many
17 independent investigators around the globe. When they
18 compare the sequence they say it is not the cause of the
19 pandemic.

20 MS. SALAZAR: Okay. So what else was discussed in the
21 meeting?

22 DR. BARIC: We discussed the P3CO documents. I sort
23 of told them why we felt that the data didn't demonstrate a
24 gain-of-function phenotype, and that was because there was
25 no change or increased replication of the virus in human



1 cells. There was no increased pathogenesis in mice.
2 Alternatively, there was a loss of disease severity in
3 mice, and so that's a loss-of-function phenotype.

4 MS. SALAZAR: And who made those decisions from NIH?

5 DR. BARIC: Well, again, my job is to send the
6 document to the NIH. It goes into a black box.

7 MS. SALAZAR: Who did you send it to?

8 DR. BARIC: Oh, that will also be on my email chain.
9 I don't remember her name. But you should have that also
10 in the email documents.

11 MS. SALAZAR: So you sent all of these documents to
12 NIH after your meeting.

13 DR. BARIC: Yeah. I don't think the university did.
14 I thought it was through me. Do you remember?

15 MR. LAMBETH: In February 2020, yes, I believe you
16 sent them to NIH. That's my recollection.

17 DR. BARIC: That would be my recollection.

18 MS. SALAZAR: Do you recall who you sent it to,
19 because I don't think I've seen that.

20 MR. LAMBETH: I will try to find it.

21 DR. BARIC: I have no problem telling you the name. I
22 just can't remember it off the top of my head.

23 MS. SALAZAR: So who else was there in the meeting?
24 Fauci left after a couple of minutes.

25 DR. BARIC: He left after a few minutes. I think it



1 was his second in command that was doing most of the
2 questioning, and I can't remember his name. Again, the
3 email should have a list of all the people that were going
4 to be there.

5 MS. SALAZAR: Was it Hugh Auchincloss?

6 DR. BARIC: Yes. Thank you. Yes. Yes.

7 MS. SALAZAR: And so was Dr. Fauci there when -- I
8 mean, did he ask you anything specific? Was he part of the
9 conversation at that time? Or did he just say hello and
10 leave?

11 DR. BARIC: No, no. I think he participated in terms
12 of introducing, asking the question about the new virus and
13 my thoughts about it, I guess, in terms of what I knew at
14 the time, which wasn't a whole lot. But I knew some
15 things.

16 MS. SALAZAR: Okay. Do you know who Matt Freeman is?

17 DR. BARIC: Yeah, I trained him, yeah.

18 MS. SALAZAR: I'm going to read you -- again, this has
19 been FOIA'd -- he sent, in a Slack message, and I'm just
20 going to read it out loud. "I talked to Ralph for a long
21 time last night. He sounds beat. His ACE2 mice are
22 breeding up but not ready for anyone to have. He said he
23 sat in Fauci's office talking about the outbreak," and I'm
24 going to ask you, is it chimeras?

25 DR. BARIC: Chimeras.



1 MS. SALAZAR: Chimeras. I figured it out. "Clearly
2 he is in other kinds of meetings than we are invited to. I
3 joked about his link to WIV but he wasn't very amused. He
4 said that Shi's paper was not approved by the Chinese
5 government and that she may be arrested for it. That's not
6 a good look for anyone. So we just go ahead and keep our
7 head down and get this virus working." So does this
8 reflect what the conversation was?

9 DR. BARIC: It's a Slack message that people write.
10 It's not to me. Yeah. Certainly the first part, for sure.
11 Ask specific parts of the question.

12 MS. SALAZAR: So why would --

13 DR. BARIC: Sorry. I can't remember.

14 MS. SALAZAR: -- Dr. Shi be arrested, because she
15 didn't have the paper approved by the Chinese government?

16 DR. BARIC: Again, I don't know for the fact why he
17 said that. However, I'm not 100 percent sure that she ever
18 got approval from the Chinese government to send me the
19 sequences. That would be my guess. That would be my
20 guess.

21 MS. SALAZAR: So is that something that they have to
22 formally approve?

23 DR. BARIC: I believe so, yes. And any other attempts
24 to get things out of China. So, for example, a guy named
25 Hongkui Deng made an ACE2 mouse model back in 2005, and I



1 met him. He was at Peking University, and said he'd be
2 glad to share his mice with us. And so we started to do
3 the paperwork, but the Chinese government never allowed it
4 to go through, so the whole thing crashed. So from what I
5 hear from other researchers, it's not an uncommon problem.

6 MS. SALAZAR: But that paper had been published.

7 DR. BARIC: That's correct.

8 MS. SALAZAR: For years. Five years.

9 DR. BARIC: Yes. Well, no. Yes. Well, I'm talking
10 in the range of around 2006 or 2007. I'm giving you an
11 example of what sort of barriers exist to get samples out
12 of China.

13 MS. SALAZAR: But the paper that we're discussing, it
14 was 2015?

15 DR. BARIC: It's 2015. That's correct.

16 MS. SALAZAR: And so I guess it's just the Chinese
17 government, I hear they monitor people a lot. They
18 wouldn't have seen that Shi, I mean, Shi was published. It
19 was public.

20 DR. BARIC: How would I know? How would I know the
21 answer to that question?

22 MS. SALAZAR: Did you have discussions with her in
23 that time frame about the paper?

24 DR. BARIC: We certainly shared the preprint of the
25 paper for her to comment on. And it said clearly in the



1 paper that she sent us the sequences.

2 MS. SALAZAR: No. So when there were these
3 allegations in 2020, early 2020, that that virus could have
4 started the pandemic, did she reach out to you, or did you
5 reach out to her about those accusations?

6 DR. BARIC: I have not reviewed my emails from her
7 back in 2020 or so, in a year or so.

8 MS. SALAZAR: So you communicate with her often, or
9 did you?

10 DR. BARIC: No. Not really. I mean, in reality,
11 oftentimes my relationship with her is described as a long-
12 term collaborative effort. In fact, we've only published
13 three papers together. One was the 2015 paper, one was
14 2017 or '18 or so, when we were both working with another
15 collaborator, and I didn't realize she was collaborating
16 with him as well until I saw a draft of the paper.

17 MS. SALAZAR: Who was it?

18 DR. BARIC: That was Fang Li.

19 MS. SALAZAR: Fang Li. Okay.

20 DR. BARIC: And then there was a 2020 or so paper that
21 we published together using the mice that we sent her.

22 MS. SALAZAR: Okay. You sent her those mice when?

23 DR. BARIC: It took a couple of years to get all that
24 paperwork through. It was around 2017 or '18.

25 MS. SALAZAR: Okay. And did she pay you for them?



1 Was it a contract?

2 DR. BARIC: No. The agreement was the first paper she
3 writes we'd just be included on it.

4 MS. SALAZAR: Okay. Is that how it works?

5 DR. BARIC: That's how I did it. I don't think
6 there's any standardized process. Sometimes we share
7 reagents and we don't ask anything. But if they're going
8 to use a mouse model, which would be a central point for
9 their paper and for countermeasure development, I thought
10 at least in the beginning, at least one paper we should be
11 an author on.

12 MS. SALAZAR: So is that like what in-kind support is,
13 or a gift? I've seen that referenced a couple of times,
14 where there's a gift of something, and it's acknowledged in
15 a paper.

16 DR. BARIC: I don't know if it's a gift or not. I
17 mean, NIH regulations say that if you publish in scientific
18 journals you need to share reagents with people who ask for
19 them. And for many journals they also require that you
20 share reagents with people who ask for them. She's also
21 been a collaborator who, you know, shared sequences with us
22 before they were published, so it seemed like an
23 appropriate thing to do.

24 MS. SALAZAR: What kind of sequences did she share
25 before?



1 DR. BARIC: The spike genes of SHC014 and WIV1.

2 MS. SALAZAR: Okay. And she sent it to you via --

3 DR. BARIC: It was electronic.

4 MS. SALAZAR: Via email?

5 DR. BARIC: Yeah, I think so, yeah.

6 MS. SALAZAR: Okay. And is it that she just attaches
7 it as an attachment.

8 DR. BARIC: Just a series of nucleotides, 4,000
9 nucleotides in length.

10 MS. SALAZAR: Was that the only sequence she sent you
11 or is that a frequent thing?

12 DR. BARIC: Later on she sent some plasma DNA with the
13 spike gene on them. It says that in the paper, in the
14 acknowledgements section.

15 MS. SALAZAR: And that's non-electronic?

16 DR. BARIC: Huh?

17 MS. SALAZAR: That's not sent electronically?

18 DR. BARIC: No, no. That's actually a piece of DNA on
19 a filter paper.

20 MS. SALAZAR: On a filter. So is that just mailed?

21 DR. BARIC: It was mailed.

22 MS. SALAZAR: Okay. It doesn't have to be kept at a
23 certain temperature. It's just regular mail.

24 DR. BARIC: No. I mean, by the time she sent it to us
25 we'd already synthesized the genes and had them and started



1 to make viruses by that time, so we didn't ever actually
2 use the plasma.

3 MS. SALAZAR: And so do you have to report this kind
4 of stuff to NIH if they're funding portions of a grant, or
5 the whole grant? Because we're aware of --

6 DR. BARIC: This is before or after? Certainly the
7 NIH knows because a paper, a progress report will have the
8 existence of the viruses that are there. And then it's
9 published, and NIH has access to the paper, as well. So
10 they get this information, yes.

11 MS. SALAZAR: But it's not something that you --

12 DR. BARIC: I don't believe there's a formalized
13 process for DNA on a filter paper. If there was a
14 pathogen, for example, then there are intricate processes
15 involved.

16 MS. SALAZAR: What about for a mouse model?

17 DR. BARIC: Intricate.

18 MS. SALAZAR: So do you have to get it approved?

19 DR. BARIC: You have to get all kinds of approval from
20 China, and you have to get approval to ship -- well, the
21 person who did that in my lab at the time did all that
22 paperwork. But you had to have export approvals to send
23 the stuff, and so it took -- all I remember is it took
24 about a year and a half to get all the paperwork done to
25 ship the mice.



1 MS. SALAZAR: But the Federal Government was aware, by
2 export. I mean, it's not like they were unaware that this
3 was being shipped. You had to fill out export control
4 documents.

5 DR. BARIC: I think that's correct, yes.

6 MS. SALAZAR: Through like the Commerce Department.

7 DR. BARIC: I do not know the details.

8 MR. LAMBETH: Yes.

9 DR. BARIC: Thank you.

10 MS. SALAZAR: So, I mean, I've seen comments where
11 people seemed shocked that the mice ended up there. From
12 your standpoint, is that valid?

13 DR. BARIC: No.

14 MS. SALAZAR: Okay. Can you elaborate?

15 DR. BARIC: Well again, the whole purpose of open
16 science is that reproducibility is key. And so if you
17 publish an important paper, people oftentimes ask you for
18 those reagents to either do extensive additional studies or
19 to actually repeat the studies. And so reproducibility is
20 key, and to do that, that's why the NIH wants you to share
21 reagents with people that ask for things.

22 MS. SALAZAR: But the NIH was also concerned when they
23 later learned that potentially these mice were being sold
24 or -- I mean, was that not a concern later on, in 2020?

25 DR. BARIC: I don't know if NIH was concerned. I know



1 that Ralph Baric was pissed off.

2 MS. SALAZAR: Can you tell me a little bit about that?

3 DR. BARIC: Well, come on. You share an important
4 reagent for countermeasure development to the Chinese
5 that's important early on in their response to a pandemic
6 that's sweeping the world, and then they try to sell it
7 back to U.S. scientists? What kind of crap is this? This
8 is not ethical behavior.

9 MS. SALAZAR: How did you find out?

10 DR. BARIC: Matt Freeman sent me an email with a
11 little thing saying, "Hey, the Chinese are selling your
12 mice."

13 MS. SALAZAR: And did you confront --

14 DR. BARIC: We did. I let the university know and we
15 confronted them, yes, and they killed the mice, from what
16 they told us.

17 MS. SALAZAR: How many?

18 DR. BARIC: I have no idea how many mice they killed.

19 MS. SALAZAR: But, I mean, do you know who was doing
20 it?

21 DR. BARIC: Again, in the email list, the name of the
22 company is listed.

23 MR. LAMBETH: I think it was like Genentech, some form
24 of Genentech, something like that.

25 DR. BARIC: Yeah, I don't remember the name.



1 MS. SALAZAR: But they got it from WIV --

2 DR. BARIC: Yes.

3 MS. SALAZAR: -- and they then were -- did they pay
4 her?

5 DR. BARIC: I have no idea.

6 MS. SALAZAR: Did you ever ask her?

7 DR. BARIC: All I told her is that those mice needed
8 to be destroyed.

9 MS. SALAZAR: And did she confirm that they were?

10 DR. BARIC: They confirmed with the university.

11 MR. LAMBETH: We certainly have emails confirming. Of
12 course, that's all we have that they were all --

13 MS. SALAZAR: Yeah. How do you confirm that, you
14 know, mice were --

15 DR. BARIC: Yeah, how do you confirm that?

16 MS. SALAZAR: Okay. I mean, so there was generally a
17 sense of trust prior?

18 DR. BARIC: Well, the whole purpose, again, of open
19 science is to ensure integrity of the process. And I think
20 that was not a validation of the integrity. That was
21 inappropriate behavior by Chinese scientists.

22 MS. SALAZAR: And I think, you know, I think part of
23 the problem is that a lot of these things are funded with
24 taxpayer dollars, so people get upset when they find out
25 that that's, right, some of the development of the model is



1 funded with taxpayer funds, U.S. taxpayer funds?

2 DR. BARIC: Well, that would have been a decade
3 earlier, but yeah, sure.

4 MS. SALAZAR: Yes. And then --

5 DR. BARIC: Those mice were also shared with other
6 U.S. investigators, as well, so it's not like this is --
7 it's not a fair assessment to say that because you gave it
8 to the Chinese you didn't appropriately use U.S. taxpayer
9 funds. In reality, the regulatory framework of the United
10 States government is that I'm required to share.

11 MS. SALAZAR: With the Chinese?

12 DR. BARIC: With people who request it. Now, if you
13 don't want that to happen then you all should write
14 regulations that prevent that.

15 MS. SALAZAR: We have a bill called the Risky Research
16 Act, but I don't know if that addresses that directly. Can
17 I have the --

18 DR. BARIC: I don't think it does.

19 MS. SALAZAR: If you have suggestions, I mean, we
20 would love suggestions on the bill and also what kind of --

21 DR. BARIC: I'd be glad to give you my suggestions on
22 the bill.

23 MS. SALAZAR: Okay. Can we go back to the 2015 paper?
24 There was a correction issue, right --

25 DR. BARIC: Yes.



1 MS. SALAZAR: -- in 2020. I forget.

2 DR. BARIC: Yeah, I think it was -- can you remind me
3 what the correction issue was?

4 MS. SALAZAR: Right. So there was a USAID grant.

5 DR. BARIC: Added to it. Wasn't it something like
6 that?

7 MS. SALAZAR: Right. Yeah. And it was in 2020. So
8 they added it.

9 DR. BARIC: They added it that late?

10 MS. SALAZAR: I believe so. Do you have the document?

11 MR. KAZENOFF: Yes, let me find it.

12 MS. SALAZAR: We have so much stuff it's hard to find
13 it. I mean, do you recall that being a conversation in
14 2020?

15 DR. BARIC: I recall that we added a grant at a later
16 date to it. I thought it was earlier than that, but I
17 believe what you tell me.

18 MS. SALAZAR: Okay. And what is the process for
19 adding? Is it something that happens frequently? Is it
20 something that happens --

21 DR. BARIC: No, it's usually an author will say, "I
22 forgot to include a grant on the acknowledgment line. Can
23 you put that grant onto the acknowledgement line."

24 MS. SALAZAR: Is it something that typically happens
25 five years later?



1 DR. BARIC: Not typically, but it does happen.

2 MS. SALAZAR: Okay. And who makes that request?

3 DR. BARIC: I mean, in terms of who requests it with
4 the journal?

5 MS. SALAZAR: The journal or --

6 DR. BARIC: That would be me.

7 MS. SALAZAR: Do you have to get anything approved
8 with the NIH or anything?

9 DR. BARIC: No.

10 MS. SALAZAR: Okay. So did you make the request?

11 DR. BARIC: Sure.

12 MS. SALAZAR: And do you know why you made it at that
13 time?

14 DR. BARIC: The other author wanted me to add the
15 grant to the line, and they said they'd forgotten it. I
16 had to reason to disbelieve them.

17 MS. SALAZAR: And was it Zhengli Shi?

18 DR. BARIC: I think -- this is the AID grant?

19 MS. SALAZAR: Yes.

20 DR. BARIC: I would guess it's Peter Daszak.

21 MS. SALAZAR: Okay. And so we have a document that
22 shows that Peter Daszak asked for that, for Zhengli Shi to
23 acknowledge that grant back in 2015.

24 DR. BARIC: And she didn't.

25 MS. SALAZAR: Right.



1 DR. BARIC: Okay. Was I on that email?

2 MS. SALAZAR: You were, and I don't like talking about
3 an email unless you have it in front of you, so we'll get
4 you that. But are you aware of that?

5 DR. BARIC: Well, if I was on the email then I was
6 aware of it.

7 MS. SALAZAR: Okay. Do you recall it?

8 DR. BARIC: No.

9 MS. SALAZAR: Okay.

10 DR. BARIC: I recall that there was an issue about a
11 grant that they wanted added.

12 MS. SALAZAR: Okay.

13 DR. BARIC: And that was in 2015. So that's what I
14 must have recalled.

15 MS. SALAZAR: Okay. But it wasn't added. Was there
16 any reason why you would not have added it at that time?

17 DR. BARIC: Not that I know of.

18 MS. SALAZAR: Okay. So here's the email. Your
19 response is dated November 7, 2015, and it's back to Dr.
20 Shi.

21 [Exhibit No. 1 shown.]

22 MS. SALAZAR: And you asked her, "Was work performed
23 under these grants?" So did she not answer? Because it
24 looks like the initial email was from Peter Daszak that
25 same day and it says, "Urgent. Please acknowledge USAID



1 PREDICT and our NIAID grant in the paper with Ralph Baric."
2 And she does ask you to do that.

3 DR. BARIC: Well, if she asked me to do it, then I
4 would've done that, yes.

5 MS. SALAZAR: So is there a reason why that correction
6 wasn't issued until 2020?

7 DR. BARIC: Not that I know of.

8 MS. SALAZAR: Okay. Was it an attempt conceal the
9 USAID funding?

10 DR. BARIC: I don't know why I would have any interest
11 in doing that.

12 MS. SALAZAR: Okay. Was that the only USAID -- I
13 mean, part of this is it's hard for us to understand, too,
14 because it seems like there are different funding streams
15 that all contribute, for very different work, and, you
16 know, I don't know if you're familiar with my boss's
17 questions to Samantha Power on this, but he asked her if
18 USAID funding had ever -- let's see, I have it here. I
19 don't want to misstate it -- I mean, he said, "Does USAID
20 fund gain-of-function research? We have no evidence that
21 USAID has funded gain-of-function research, and we
22 certainly have not authorized gain-of-function research."
23 Then Senator Paul talked about the paper, and her response
24 was that she just said, unequivocally, that USAID has not
25 authorized gain-of-function research. Do you agree with



1 her statement?

2 DR. BARIC: I don't know what was in this grant. My
3 guess is that USAID paid for surveillance work that picked
4 up samples that ultimately were used with, you know, in
5 terms of sequence discovery and that sort of thing that we
6 used, and they wanted to acknowledge the fact that the
7 discovery portion of the grant, that that contributed to
8 the discovery portion of the grant. But again, this is not
9 my grant, and it's not in the RO1 that they had with Daszak
10 and Shi were not my grant, so I don't know the intricacies
11 of what was in each and why they felt it was appropriate.

12 MS. SALAZAR: In the paper there is a section that
13 makes it clear that the work was done prior to the pause.
14 Is that right?

15 DR. BARIC: Prior to what?

16 MS. SALAZAR: Prior to the pause.

17 DR. BARIC: Yes, that's correct.

18 MS. SALAZAR: Why was that statement included? Was it
19 because there was a concern that it could be perceived that
20 there was gain-of-function work occurring after the pause?

21 DR. BARIC: I don't recall the exact reason why we put
22 that statement in. It may have been because the journal
23 wanted it written in that it was started prior to the
24 pause. It's technically accurate and honest, and I think
25 that's the most important thing for the reason why the



1 statement is in the paper.

2 MS. SALAZAR: Was all the work done before the pause,
3 or was it that it was --

4 DR. BARIC: It was 90 percent done before the pause.
5 We had resurrected the virus, I think, in, I don't know,
6 February, March, April of 2014, and had done a lot of work
7 on them.

8 MS. SALAZAR: And so was it NIH's position that
9 anything that was created prior to the pause, you could do
10 any type of work after the pause and it wouldn't be
11 considered gain-of-function?

12 DR. BARIC: No. That's not correct at all. They
13 reviewed it. Again, you're asking me to describe NIH
14 policy that occurred behind a closed door. So the details
15 of the discussion about what's gain-of-function or what's
16 not is dependent on NIH. My role is to provide them with
17 the information of what I think. They don't have to accept
18 that at all.

19 MS. SALAZAR: Have they ever not?

20 DR. BARIC: Yes.

21 MS. SALAZAR: In what cases?

22 DR. BARIC: I think a grant with Fang Li, they said
23 there were a couple of things they didn't want us to work
24 on. They suggested we find different viruses in all of the
25 experimental plan, which we did.



1 MS. SALAZAR: And what grant was that? Was it a
2 coronavirus grant?

3 DR. BARIC: Definitely.

4 MS. SALAZAR: Okay.

5 DR. BARIC: And Fang Li would've been on it. So there
6 are two Fang Li grants, one that I led that he was co-PI on
7 and one that he led that I was co-PI on. We can provide
8 you with those numbers, but I can't tell you what they are
9 off the top of my head.

10 MS. SALAZAR: And what's your relationship with Fang
11 Li?

12 DR. BARIC: He's a friend.

13 MS. SALAZAR: A friend. Okay. And you've all worked
14 together so you all have grants together?

15 DR. BARIC: We have a grant that is pending at NIH
16 right now, 2 percentile, that is paused right now. We're
17 waiting to see, from what I understand, of whether or not
18 there's a potential GOF experiment in it or not.

19 MS. SALAZAR: A potential what?

20 DR. BARIC: Gain-of-function experiment.

21 MS. SALAZAR: Oh, okay. So it's under the gain-of-
22 function pause.

23 DR. BARIC: Well, as told to me, as told to Fang Li,
24 actually, there is one experiment that they think needs to
25 be reviewed by the new committee that is formed after the



1 Trump administration formed that committee.

2 MS. SALAZAR: Which hasn't been formed.

3 DR. BARIC: That's correct.

4 MS. SALAZAR: So it's indefinitely paused.

5 DR. BARIC: It is in purgatory, yes.

6 MS. SALAZAR: Okay. And what is the work,
7 specifically?

8 DR. BARIC: Most of the work was on mouse hepatitis
9 virus. One of the aims included some merbecoviruses,
10 specifically two merbecoviruses called MERS-422 and MjHKU4.
11 And there's some mouse data in there that they want to have
12 reviewed.

13 MS. SALAZAR: Is there a process for --

14 DR. BARIC: Is there a process? I have no idea. All
15 I know is that the Trump administration has formed a
16 committee to come up with final policy recommendations for
17 what is gain-of-function and what's not. And so in the
18 grant, because there was no structure except the existing
19 2016 structure, we said depending on however those reviews
20 work, we will work within the context of the new structure,
21 because we have no idea of what they're going to say is GOF
22 or not.

23 MS. SALAZAR: Which was kind of what happened before,
24 right, that it was an NIH decision, or was there more
25 interaction previously?



1 DR. BARIC: You need to put that sentence in context.
2 I'm not sure what you're referring to.

3 MS. SALAZAR: Well, you said that you didn't know how
4 the P3 -- or I can't remember -- the process worked prior,
5 when it was an NIH decision, and you just sent them what
6 you believed, and then they would make the ultimate
7 decision.

8 DR. BARIC: Well, you would realize it would be a
9 conflict of interest if I participated in such a
10 discussion. We would have a very different conversation
11 here, and the conversation would be do you think it's right
12 that I would be in the committee meeting advocating for my
13 own research. And I don't think that's right.

14 MS. SALAZAR: Well, and I think, you know, we are
15 aware you weren't in the committee meeting, but there were
16 discussions back and forth, and there were --

17 DR. BARIC: No. I sent them a document. They
18 reviewed it. They asked for clarification, and then I
19 responded to that. And then they approved it in April of
20 2015.

21 MS. SALAZAR: Did you ever write the limitations of
22 the work?

23 DR. BARIC: Describe the limitation you're referring
24 to.

25 MS. SALAZAR: We'll get that document. But did you



1 ever, basically what logs you had to report and immediately
2 stop work?

3 DR. BARIC: Say that again.

4 MS. SALAZAR: Did NIH ever adopt your language that
5 you had provided to them on stopped work and at what point
6 you would have to?

7 DR. BARIC: Oh, are you talking about parameters that
8 we might use to determine whether we would pause the
9 research in place --

10 MS. SALAZAR: Right.

11 DR. BARIC: -- notify NIH, and then yes, have a
12 discussion about what to do, including destruction of
13 viruses. I don't know if they implemented that as a policy
14 or not. They might well have, because one of my documents
15 would have been one of the first ones that they reviewed.
16 Against, that's a decision that NIH has to come up with,
17 not me.

18 MS. SALAZAR: And I think they did. But, you know, I
19 think -- and that's a question that we have is, is it
20 appropriate or not. It sounds like NIH didn't even keep
21 documents of those interactions.

22 DR. BARIC: I think that's probably a misstatement on
23 your part.

24 MS. SALAZAR: Okay.

25 DR. BARIC: They may not be aware they had the



1 documents at the time. But if I gave the impression that
2 they didn't have the documents, that's probably not
3 correct.

4 MS. SALAZAR: Okay.

5 DR. BARIC: There seemed to be the upper
6 administration didn't know where the documents were.

7 MS. SALAZAR: Okay. Have they tried to find them?

8 DR. BARIC: How would I know? I would hope they
9 would.

10 MS. SALAZAR: So can we go back to early January.
11 Let's go back to February, February 1st. Were you on the
12 Proximal Origins call?

13 DR. BARIC: You mean the call that NIH had on the
14 origins?

15 MS. SALAZAR: The one that the authors of Proximal
16 Origins were on the initial call, February 1st.

17 DR. BARIC: I was on a call around February 1st.

18 MS. SALAZAR: Not February 3rd.

19 DR. BARIC: Again, the people that were on the call
20 that I was on, and maybe that's the best way to say it, was
21 Jeremy Farrar, Fauci, the three evolutionary biologists --

22 MS. SALAZAR: Who?

23 DR. BARIC: Kristian Andersen, Bob Garry, and the guy
24 from Australia.

25 MS. SALAZAR: Eddie Holmes?



1 DR. BARIC: Eddie Holmes. Thank you. You notice I
2 have a problem with names.

3 MS. SALAZAR: I mean, this sounds like the Proximal
4 Origins call.

5 DR. BARIC: I don't know if it was the Proximal
6 Origins call. The purpose of the call was to discuss --
7 oh, the other person was Ron Fouchier, as well, and Ian
8 Lipkin may have been on the call, as well. Whichever that
9 call was, I was on, okay.

10 MS. SALAZAR: Did you speak?

11 DR. BARIC: No.

12 MS. SALAZAR: Who invited you to that call?

13 DR. BARIC: I don't recall.

14 MS. SALAZAR: You're not on any of the invitation
15 lists, and it's a question. And you don't recall?

16 DR. BARIC: I don't recall. I actually looked at all
17 my records and I can't find the reason why I was on the
18 call.

19 MS. SALAZAR: Do you recall what was discussed on the
20 call?

21 DR. BARIC: Yeah. The purpose of the call was to talk
22 about origins and potential origins. Most of the
23 discussion was led by the evolutionary biologists, who were
24 actually probably the most key, important people in the
25 room. And what they said was that they thought it was



1 extremely unlikely that there was any evidence of genetic
2 engineering of the virus, but that they had trouble
3 distinguishing between natural evolutionary processes in
4 cell culture versus animals. And that became sort of the
5 consensus, that it evolved by natural evolutionary
6 processes.

7 MS. SALAZAR: And then what else did they discuss.
8 Natural origin, that it evolved naturally?

9 DR. BARIC: Well, that's natural origin process that
10 either occurs through nature or natural evolutionary
11 processes that might occur in cell cultures. They're
12 really hard to tell apart.

13 MS. SALAZAR: I'm not a scientist, so natural origin,
14 to me genetically engineered or accidental release? And
15 correct me.

16 DR. BARIC: So accidental release would have fallen
17 under the category of natural evolutionary processes in
18 cell culture.

19 MS. SALAZAR: That could have been adapted in the lab.

20 DR. BARIC: That's correct.

21 MS. SALAZAR: And so that was the focus of the call.
22 But it sounds like you didn't speak.

23 DR. BARIC: That's correct.

24 MS. SALAZAR: It's surprising because you're an expert
25 in this field.



1 DR. BARIC: Not really.

2 MS. SALAZAR: Oh, you're not? Okay.

3 DR. BARIC: So before that I had made a presentation
4 to a group that talked about three possibilities for the
5 origin of the virus. If I spoke, I could change the
6 direction of the conversation, and I wanted to hear what
7 the evolutionary biologists had to say.

8 MS. SALAZAR: What was the presentation that you're
9 referring to?

10 DR. BARIC: I think this falls under the category that
11 is later today, although you have made the document public.

12 MS. SALAZAR: It was provided to us, and, you know,
13 I'll go ahead and my team is going to --

14 DR. BARIC: I have no problem talking about the paper,
15 but it sounded like it fell under the category of this was
16 this afternoon.

17 MS. SALAZAR: Well, I mean, this is something that I
18 would like to put on the record, because --

19 MR. ERVIN: Let's see what the question is.

20 MS. SALAZAR: Yeah. I think that's fair. BSEG is not
21 classified. We've had this conversation with -- and this
22 isn't on you, but, you know, people like to talk about it
23 like it's classified. It's not classified.

24 DR. BARIC: Can I ask a point of clarification?

25 MS. SALAZAR: Mm-hmm.



1 DR. BARIC: So certainly when BSEG started it was not
2 classified. But I recall being told it was classified
3 sometime later.

4 MS. SALAZAR: I'm not going to use the individual's
5 name, but I'll just show you the name. This is an
6 individual who is on that committee, who is, that is his
7 law firm website that publicly says that he is a member.
8 So that's one of many examples.

9 DR. BARIC: Yeah, he was certainly a member early on,
10 and early on in BSEG they actually encouraged people to put
11 their membership on that committee. And then later there
12 was discussions that said that they wanted us to strip all
13 that off of our material.

14 MR. ERVIN: And just to note, this is a March 2015
15 doc.

16 MS. SALAZAR: Right.

17 DR. BARIC: [REDACTED] also stepped down from that
18 committee at some point. I don't remember the exact date,
19 but he stepped down from it, yeah.

20 MS. SALAZAR: Also we've confirmed --

21 DR. BARIC: I'm sorry I just mentioned his name, if
22 you'd redact that.

23 MS. SALAZAR: We will redact that. I don't want there
24 to be any confusion. We've looked at NARA documents that
25 are publicly available online that mention the ODNI



1 Biological Science Expert Group. And this isn't on you,
2 but there's this secrecy about this group that has caused a
3 lot of confusion and frustration probably among you, as
4 well. But this isn't classified, and I am fine to hold
5 back our questions, generally, on that until later, even
6 ones that aren't classified, just so everyone feels
7 comfortable. But we will be going through a classification
8 review of that transcript, and it's not classified, so I
9 just want to flag that. But I think we have made it
10 public, sir. Are you at least comfortable talking about
11 the presentation here, the presentation that was given? It
12 was public.

13 MR. ERVIN: I think it would be better to discuss it
14 in the classified session, since there's going to be a
15 classified session anyway.

16 MS. SALAZAR: Okay.

17 MR. ERVIN: We have 4 1/2 hours --

18 MS. SALAZAR: Can I just ask, that presentation, did
19 you present three different potential origin options?

20 DR. BARIC: Yes.

21 MS. SALAZAR: And what were they?

22 DR. BARIC: Natural origins, laboratory escape, and
23 genetic engineering.

24 MS. SALAZAR: Okay. And then was that your position -
25 - when you presented it, was it these are all of the



1 possible options? Was it these are the likely options?

2 This is one I think is more likely than not?

3 DR. BARIC: Oh --

4 MS. SALAZAR: At that time did you have a position?

5 DR. BARIC: Yes. I put it in that order. Most likely
6 natural origin, I couldn't rule out laboratory escape.

7 Genetic engineering was unlikely, but it needed to be
8 reviewed by evolutionary biologists.

9 MS. SALAZAR: Okay. And so you didn't speak on the
10 February 1st call because of that presentation.

11 DR. BARIC: That's correct.

12 MS. SALAZAR: Okay. Were you there in your capacity?
13 Were you on the February 1st call in your capacity as a
14 member of the other group?

15 DR. BARIC: No.

16 MS. SALAZAR: I'm just trying to understand.

17 DR. BARIC: I wish I could understand too.

18 MS. SALAZAR: I mean, I find it very odd that there's
19 no record, because we have records that have been FOIA'd.
20 I mean, every other person there's some kind of record of
21 them being invited.

22 DR. BARIC: That's absolutely true. I looked at all
23 my calendars. I looked at all of my emails for the House
24 Subcommittee meeting, and I couldn't find how I knew about
25 the meeting.



1 MS. SALAZAR: You were on it.

2 DR. BARIC: I was definitely on it. With the names I
3 mentioned, I was definitely on that meeting. No question.

4 MS. SALAZAR: And you reviewed your calendar to make
5 sure there were no other calls?

6 DR. BARIC: I did.

7 MS. SALAZAR: Okay. So you were on the February 1st
8 call. You don't know how you were invited.

9 DR. BARIC: If the people that I mentioned were on
10 that February 1st call, then I was there, yes.

11 MS. SALAZAR: Okay. The February 3rd call.

12 DR. BARIC: Okay.

13 MS. SALAZAR: That's the National Academies.

14 DR. BARIC: That's the National Academies, yes.

15 MR. ERVIN: So I think you're saying that you're
16 unclear whether the call you're talking about was on
17 February 1st or 3rd, right?

18 DR. BARIC: No. So there was definitely a call on
19 February 1st, right. At the House meeting I was unsure
20 whether they were talking about that meeting or whether
21 they were talking about the WHO meeting. Subsequent to
22 that, I did see other documents from other people who, in
23 some of this whole process of review, that the February 1st
24 call was there, and people like Jeremy Farrar and others
25 were there, and I know I was on that call.



1 MS. SALAZAR: So I'm going to read a couple of other
2 folks from that call. Kristian Andersen, you said.

3 DR. BARIC: Mm-hmm.

4 MS. SALAZAR: Bob Garry.

5 DR. BARIC: Mm-hmm.

6 MS. SALAZAR: Christian Drosten.

7 DR. BARIC: I don't recall him, but he would be a
8 logical person.

9 MS. SALAZAR: Do you know who he is?

10 DR. BARIC: Yeah.

11 MS. SALAZAR: Tony Fauci.

12 DR. BARIC: Yep.

13 MS. SALAZAR: Ron Fouchier.

14 DR. BARIC: Yep.

15 MS. SALAZAR: Eddie Holmes.

16 DR. BARIC: Yep.

17 MS. SALAZAR: Marion -- I'm bad at pronouncing names --
18 -- Koopmans?

19 DR. BARIC: She'd be logical.

20 MS. SALAZAR: Okay.

21 DR. BARIC: I know her well.

22 MS. SALAZAR: Patrick Vallance.

23 DR. BARIC: No, I wouldn't have remembered him.

24 MS. SALAZAR: Okay. Anyone else?

25 DR. BARIC: I thought something I read said Ian Lipkin



1 was also on that call, so I thought he was on it, as well.
2 He may not have been.

3 MS. SALAZAR: Francis Collins?

4 DR. BARIC: Yeah, I think he was there.

5 MS. SALAZAR: Okay. And you don't know how it was
6 organized.

7 DR. BARIC: No.

8 MS. SALAZAR: Do you have a relationship with Jeremy
9 Farrar?

10 DR. BARIC: No.

11 MS. SALAZAR: Have you ever spoken with him prior to
12 the call?

13 DR. BARIC: If I did it was a one-off event or an
14 email or something, but it's not somebody I would normally
15 communicate with.

16 MS. SALAZAR: Okay. So on the February 3rd call,
17 which is the National Academies call, you did speak in that
18 call. Correct?

19 DR. BARIC: Mm-hmm.

20 MS. SALAZAR: And you had, you know, confrontations --
21 I think that's how Kristian Andersen --

22 DR. BARIC: Is this the Kristian Andersen conference?

23 MS. SALAZAR: Yeah, I don't want to misspeak, but I
24 think that's generally how he described it, and someone
25 will hand me a paper so I can correct the record if I'm



1 wrong on exactly how he framed it. He framed it as, let's
2 just say, a negative interaction. And again, this is him
3 recalling it after the fact. He did not know you were on
4 the call.

5 DR. BARIC: Which call are you talking about?

6 MS. SALAZAR: The February 1st call.

7 DR. BARIC: He may not have, yeah.

8 MS. SALAZAR: And he, I think the way he phrased it is
9 he felt like you were using all of the information to then
10 attack him on the February 3rd call.

11 DR. BARIC: See, I don't ever recall attacking him on
12 the February 3rd call.

13 MS. SALAZAR: Okay. What was your --

14 DR. BARIC: I may have pointed something out that he
15 wasn't aware of in coronaviruses, but that's not attacking
16 somebody. That's just providing scientific information.

17 MS. SALAZAR: What was it?

18 DR. BARIC: I don't recall 100 percent. You know,
19 again, did he mention? It would be helpful to me if you'd
20 say what it was.

21 MS. SALAZAR: Yeah. "I should mention that Ralph
22 Baric pretty much attacked me on the call with NASM,
23 essentially calling anything related to potential lab
24 escape ludicrous, crackpot theories. I wonder if he,
25 himself, is worried about this too."

1 DR. BARIC: Yeah. Personally, I think he's got the
2 wrong person on the call.

3 MS. SALAZAR: What do you mean?

4 DR. BARIC: He's attributed that comment to me rather
5 than other people.

6 MS. SALAZAR: On the February 3rd call?

7 DR. BARIC: Yeah.

8 MS. SALAZAR: Who was it?

9 DR. BARIC: I would say it's more likely to be Peter
10 Daszak.

11 MS. SALAZAR: Okay. So was that something that Peter
12 Daszak expressed on the call?

13 DR. BARIC: I recall that people challenged the idea
14 that it could be a lab leak.

15 MS. SALAZAR: But at that point you were not -- I
16 think you've rated it as more likely natural, but you
17 weren't -- what would you rate it?

18 DR. BARIC: I never ruled out lab leak possibility. I
19 don't see how anyone can. And the reason that is, is that
20 they've published papers saying that they did their work at
21 BSL-2. Biological Safety Level 2 is less stringent than
22 Biological Safety Level 3. So there are many more
23 laboratory-acquired infections at BSL-2 than at BSL-3. And
24 so if you're working with viruses than use human receptors
25 and growing human cells, and you're doing it at BSL-2, you

1 cannot ever conclusively say that there was not a
2 laboratory leak.

3 MS. SALAZAR: So you think that he was referring to
4 Peter Daszak, so when he's saying, "I wonder if he,
5 himself, is worried about this too."

6 DR. BARIC: I have absolutely no concerns about, no
7 hesitation to tell you that I was not involved in the
8 creation of this virus in any way. I'm 100 percent
9 certain.

10 MS. SALAZAR: So that February 3rd call, what was the
11 purpose of it?

12 DR. BARIC: I think the NIH had asked the National
13 Academy to get together a group to put together a document
14 about the COVID-19 virus.

15 MS. SALAZAR: Were you a member of the National
16 Academies at that point?

17 DR. BARIC: Two thousand --

18 MS. SALAZAR: -- '21, I think.

19 DR. BARIC: It would've been 2020. I think I became a
20 National Academy member in '21.

21 MS. SALAZAR: April of 2021, I think.

22 DR. BARIC: I think you know me better than I do.

23 MS. SALAZAR: We did prepare.

24 [Laughter.]

25 MS. SALAZAR: So how does that work with the National



1 Academies? So you can be an inducted member --

2 DR. BARIC: Sure.

3 MS. SALAZAR: -- but you can also participate in
4 National Academy work.

5 DR. BARIC: It's not uncommon for both the National
6 Academy of Sciences and National Academy of Medicine to ask
7 experts to participate in developing documents.

8 MS. SALAZAR: So what's the difference between being
9 an inducted member versus being an expert that's asked --

10 DR. BARIC: Well, an inducted member has to go through
11 the review process by formal members and then voted on by
12 the entire Academy about whether they should be included in
13 that honorary group or not.

14 MS. SALAZAR: And what does that mean? Are there
15 privileges that are --

16 DR. BARIC: The process is, as described to me when I
17 first became a member, very esoteric and difficult to
18 understand. But each section in the National Academy will
19 open up a -- begin the process of thinking about new
20 members to induct into the National Academy for their
21 section. And members will nominate individuals. Those
22 individuals will be presented to that section, in terms of
23 a five-minute review of what they did, why it's
24 significant, and where science would be should they not
25 have existed. So you're looking for people who made



1 significant contributions to science.

2 Then they do straw polls to down-select the most
3 heavily supported individuals. Those are then taken to a
4 larger committee and presented to the entire Academy from
5 all the different sections. That group then ultimately
6 makes the final list for voting, and then they do voting
7 the beginning of April. Like the voting will probably end
8 in the next few days, if it hasn't already ended for this
9 next year's nominees that are being inducted. And then
10 they're announced probably sometime within the next week or
11 two, depending on the Academy. That's the process.

12 MS. SALAZAR: And so your understanding is that was
13 convened by NIH?

14 DR. BARIC: No. You're confusing induction into the
15 National Academy of Sciences with sponsored research that
16 the National Academy does for Federal institutions. So the
17 NIH wanted the National Academy to form a group that would
18 review the COVID outbreak. That group will include
19 National Academy members as well as individuals who are not
20 National Academy member but knowledgeable experts in the
21 field.

22 MS. SALAZAR: I guess my question is, why was NIH
23 convening this group? Because the same members, right, Dr.
24 Fauci, Francis Collins were in other groups.

25 DR. BARIC: I think, again, you need to talk to the



1 National Institute of Health, because they're the ones who
2 arranged for this group to be formed through the National
3 Academy and the process involved. Typically, the group
4 that is asking the National Academy to respond to a request
5 from an outside group, people from that outside group will
6 explain on the first day of the meeting what the topic is
7 and why they're interested in it, and that sort of thing.

8 MS. SALAZAR: Was it just NIH, or were there other
9 agencies that were involved in calling this meeting?

10 DR. BARIC: I wouldn't have paid attention to that.

11 MS. SALAZAR: Were you familiar with the other people
12 that were attending the meeting?

13 DR. BARIC: Some of the people, for sure.

14 MS. SALAZAR: Let's see. Diane Griffith?

15 DR. BARIC: I know her well.

16 MS. SALAZAR: Okay. How long have you known her?

17 DR. BARIC: Twenty years, until she passed away
18 recently.

19 MS. SALAZAR: Tom Inglesby?

20 DR. BARIC: Yes.

21 MS. SALAZAR: How do you know him?

22 DR. BARIC: He's a member of the National Academy,
23 journal editor.

24 MS. SALAZAR: Stanley Perlman?

25 DR. BARIC: Good friend for 30 years.



1 MS. SALAZAR: Kathy Brinsfield from ODNI?

2 DR. BARIC: Less. Not somebody that I would recognize
3 on the street.

4 MS. SALAZAR: Why was ODNI on that call?

5 DR. BARIC: I have no idea.

6 MS. SALAZAR: Did they announce themselves?

7 DR. BARIC: I have no recollection of that.

8 MS. SALAZAR: And then Ian Watson from OSTP?

9 DR. BARIC: I know him, yes.

10 MS. SALAZAR: And then some National Academy members.
11 Do you have any reason to believe that there were
12 individuals from other agencies that were involved but not
13 maybe overtly listed?

14 DR. BARIC: I was surprised that the ODNI member was
15 there. So if an ODNI member was there, there may have been
16 more members from other intelligence groups there, as well,
17 but they wouldn't have risen to my level of attention.

18 MS. SALAZAR: Is that common for the National
19 Academies?

20 DR. BARIC: I've only been on a few committees. I
21 don't recall that being common.

22 MS. SALAZAR: Okay.

23 DR. BARIC: I can't say for certain. I mean, National
24 Academy does a lot of work with the Federal Government, so
25 they could do much more sensitive research with different



1 members of the intelligence community and different groups.
2 I just am not knowledgeable enough to know the answer to
3 that question.

4 MS. SALAZAR: And were you ever involved in any
5 National Academies work that involved the intelligence
6 community?

7 DR. BARIC: No. That's the first time I realized that
8 I recognize them, because they were in that email, they
9 were there. Now, whether they participated in any calls
10 beyond the first one is whole nother question. You'd have
11 to look at downstream emails.

12 MS. SALAZAR: And then I missed a couple that I'm
13 seeing right now. Robert Bull?

14 DR. BARIC: Who?

15 MS. SALAZAR: Robert Bull, FBI.

16 DR. BARIC: I don't think I know him, but that gets on
17 this other topic area where everybody used first names.

18 MS. SALAZAR: Robert Kadlec, Bob Kadlec?

19 DR. BARIC: Yeah.

20 MS. SALAZAR: And how do you know Bob Kadlec?

21 DR. BARIC: Senator Burr formed a group to look at
22 origins, and Bob was leading that, and I participated in a
23 phone call with him, responding to Senator Burr's request
24 to answer or give comments on his review of origins.

25 MS. SALAZAR: It was a HELP Committee report from a



1 few years ago, I think?

2 DR. BARIC: It may have been, yeah.

3 MS. SALAZAR: And was it like a group call?

4 DR. BARIC: He had a group of people there. Some of
5 the legal team was here on that call, as well. It was a
6 Zoom call.

7 MS. SALAZAR: Who else?

8 DR. BARIC: Senator Burr was on the call.

9 MS. SALAZAR: Other experts, or was it just you?

10 DR. BARIC: I think it was me, yeah.

11 MS. SALAZAR: Did you provide written feedback? Was
12 it just verbal?

13 MR. LAMBETH: We may have also had other UNC officials
14 on that call that have some expertise. I don't recall if
15 they were on that.

16 DR. BARIC: I don't think they were on that.

17 MS. SALAZAR: You had probably met Senator Burr
18 before. He was a Senator from your state.

19 DR. BARIC: I don't think I had met him before, no.

20 MS. SALAZAR: But did you know Dr. Kadlec before?

21 DR. BARIC: I may have run into Bob in my other life.

22 MS. SALAZAR: What do you mean?

23 DR. BARIC: In the things we're going to talk about
24 this afternoon.

25 MS. SALAZAR: Okay. So you knew Bob Kadlec. Okay.



1 Again, did anyone ever come to you and ask you, after this
2 third call, whether or not you were on the Proximal
3 Origins, the February 1st call?

4 DR. BARIC: Ever?

5 MS. SALAZAR: Yes.

6 DR. BARIC: Certainly the House Committee asked, and
7 that's when I realized that I might be confused about which
8 meetings I was on.

9 MS. SALAZAR: But you are confident you were on the
10 February 1st call.

11 DR. BARIC: Well, I recognize most of the names on the
12 call, and I know what they talked about, so I was on that
13 call.

14 MS. SALAZAR: And did you communicate with anyone else
15 that was on that call following the call, about the call,
16 like Ron Fouchier?

17 DR. BARIC: No, I don't recall that. I know that
18 Kristian Andersen sent me an email later, to get together
19 to talk about something, but I don't think it ever
20 occurred. I like Kristian Andersen.

21 MS. SALAZAR: Do you know him well?

22 DR. BARIC: Not that well, but we met at meetings, and
23 I enjoyed talking with him. I think he's a good scientist.

24 MS. SALAZAR: There's some that believe that you may
25 have been a reviewer of Proximal Origins.



1 DR. BARIC: That's not true.

2 MS. SALAZAR: Okay. You were not a reviewer of
3 Proximal Origins.

4 DR. BARIC: One hundred percent certain.

5 MS. SALAZAR: Do you know anyone who was?

6 DR. BARIC: No.

7 MS. SALAZAR: Would you tell me if you did? Was Ron
8 Fouchier a reviewer, to your knowledge?

9 DR. BARIC: The reason I'm hesitating is not because I
10 know anyone who reviewed it. The reason I'm hesitating is
11 I was thinking about the confidentiality agreements that
12 journals ask to reviewers about not making their -- you
13 know, they're anonymous reviewers, and they're supposed to
14 remain anonymous. And I was thinking about, well, if I did
15 know them, would it be under the confidentiality agreement
16 that the journal had done.

17 MS. SALAZAR: But that wouldn't apply --

18 DR. BARIC: That actually doesn't apply here, no. So
19 that's what I was thinking about. Sorry. You sent me down
20 a rabbit hole. But no, I do not know any reviewer for
21 Proximal Origins.

22 MS. SALAZAR: And you are not aware of Ron Fouchier
23 being a reviewer.

24 DR. BARIC: No. He'd be a logical person, I think,
25 yeah.



1 MS. SALAZAR: Have you ever seen the reviewer
2 comments?

3 DR. BARIC: No, I haven't.

4 MS. SALAZAR: I'm not going to share them with you.

5 DR. BARIC: I'd love to look at them. I can give you
6 my comments.

7 MS. SALAZAR: Yeah, no. Good one, though. Okay. But
8 the question, because I think some have referred to you as
9 "Deep Throat."

10 DR. BARIC: I've heard that rumor.

11 MS. SALAZAR: You have? Are you "Deep Throat"?

12 DR. BARIC: [Laughs.] No.

13 MS. SALAZAR: Was it you who sent the anonymous email
14 to Jon Cohen?

15 DR. BARIC: Jon Cohen asked me that, too, and I said
16 no.

17 MS. SALAZAR: Okay. Because you were on the call,
18 which it seems like the perception of the folks from
19 Proximal Origins is that whoever sent that email must have
20 heard the deliberation on that call in order to have
21 written that email.

22 DR. BARIC: That review?

23 MS. SALAZAR: No. Now I'm talking about the email to
24 Jon Cohen. I switched.

25 DR. BARIC: Am I on that email?



1 MS. SALAZAR: The anonymous email to Jon Cohen.

2 DR. BARIC: Oh. Could I see that?

3 MS. SALAZAR: Yep. There's a Proton address, too.

4 You can confirm whether or not that's your Proton address.

5 DR. BARIC: I don't have a Proton address.

6 [Pause.]

7 DR. BARIC: Did Tony Fauci have a private call with
8 the three evolutionary biologists before the meeting on the
9 1st?

10 MS. SALAZAR: So Eddie Holmes, Kristian Andersen, and
11 --

12 DR. BARIC: Bob Garry.

13 MS. SALAZAR: Was Bob Garry on that call on the 31st?

14 MR. KAZENOFF: On the 31st --

15 MS. SALAZAR: So yeah, I believe there was a call on
16 the 31st, and that was Eddie Holmes, the Aussies reaching
17 out with Kristian Andersen, to let Dr. Fauci know that he
18 thought that, or they were concerned that it was
19 engineered.

20 DR. BARIC: You know, being on that call, that was not
21 a decision of that group that it was engineered.

22 MS. SALAZAR: Did they discuss it?

23 DR. BARIC: Yes. The main problem that the
24 evolutionary biologists were running into was about that
25 time they only had 8 to 15 full-length genomes of the virus



1 sequenced, and they had no other sequences of related
2 viruses. There was a rumor that a pangolin virus existed
3 with 100 percent identity, or close to 100 percent identity
4 to SARS-2. That turned out not to be true, as the
5 pangolin, called the pangolin GD strain, which was about 90
6 percent identical, I believe, is the virus they were
7 talking about. It has a receptor binding domain that is
8 almost identical, nearly identical to SARS-2, but it does
9 have a couple point mutations in it. And the reason that's
10 important is that that meant that there were natural
11 isolates in nature that had that sequence, which means it's
12 not engineered.

13 MS. SALAZAR: Okay.

14 DR. BARIC: It was later then found that the BANAL
15 strains on the Cambodian-Thailand-Chinese border --

16 MS. SALAZAR: This is --

17 DR. BARIC: BANAL-52 and BANAL-1-something or another,
18 also had receptor binding domains that were virtually
19 identical to SARS-2. So there's two bad viruses and
20 pangolin virus within the clade, within that branch of
21 SARS-2 that had identical receptor binding domains, which
22 means that it's not engineered.

23 MS. SALAZAR: Would your opinion change if you knew
24 that they WIV was conducting work on pangolin viruses
25 around 2019, 2018?

1 DR. BARIC: It would depend on what strain they had.
2 It would depend on what strain they had.

3 MS. SALAZAR: Is that conversation that you've had
4 with anyone?

5 DR. BARIC: Let's take that into the afternoon.

6 MS. SALAZAR: Would that change your opinion?

7 DR. BARIC: It would depend on what data was present.
8 Certainly the pangolin strains were identified, and I think
9 the first paper that was published was in September of
10 2019, by non-WIV researchers.

11 MS. SALAZAR: And who was that?

12 DR. BARIC: I --

13 MS. SALAZAR: What organization?

14 DR. BARIC: I don't know. The authors were from
15 Chinese groups. We could find the paper, but that was the
16 first report. In fact, the whole genesis around the
17 pangolin strains, these pangolin strains, is confusing
18 because the group that published first had one sequence.
19 And then, if I understand it correctly, those sequences and
20 samples were picked up, I believe, by WIV researchers, or
21 another group, and they published a completely different
22 set of sequences. So one paper is in a very infrequently
23 read journal, and then the other was in Nature.

24 MS. SALAZAR: What's the other journal?

25 DR. BARIC: Again, I can't remember. So there's an



1 issue about -- it's not clear why they found two different
2 virus sequences in the same samples.

3 MS. SALAZAR: Okay.

4 DR. BARIC: I did actually make a molecular clone of a
5 pangolin GD strain, and we published it --

6 MS. SALAZAR: Can I have the document back? I think
7 we showed you the part that is relevant to our discussion.
8 You look too interested over there.

9 [Laughter.]

10 DR. BARIC: Are we peeking?

11 MS. SALAZAR: Yep, go ahead.

12 MR. HENDERSON: Just a clarification. On this email
13 that you just reviewed, did you write this?

14 DR. BARIC: I told you, that's, what did they call it,
15 "Deep Throat."

16 MS. SALAZAR: Did you write this?

17 DR. BARIC: No, I did not write it.

18 MR. HENDERSON: Okay. It wasn't clear.

19 DR. BARIC: Let me be clear. One hundred percent
20 certain I did not write that email.

21 MR. HENDERSON: And had you seen it before it was just
22 showed to you?

23 DR. BARIC: No. Jon Cohen actually asked me whether I
24 had written it, and I also told him, no, I did not write
25 it.



1 MR. HENDERSON: That was the question.

2 DR. BARIC: What I can't remember is whether he showed
3 me the email or not.

4 MR. HENDERSON: Okay. That was the question you'd
5 answered, is that you said you told him no. You didn't
6 tell us no. So I just wanted to get that on the record.
7 Thank you.

8 DR. BARIC: Yeah. Let me be 100 percent clear. No,
9 although I like the name.

10 MS. SALAZAR: So you said that you -- can we get the
11 notes from that call, because I seem to recall that some of
12 the folks on that call were 50/50. It was not clear that
13 they were leaning more towards --

14 DR. BARIC: There was an hour discussion, and
15 certainly in part of that discussion is going to be
16 discussion about whether or not it could be engineered.
17 And the answer is, from a technical point of view, yes, it
18 could be engineered. There are some key things that you
19 have to have. The first and most important is a full-
20 length molecular clone of that virus.

21 MS. SALAZAR: Both ends?

22 DR. BARIC: What?

23 MS. SALAZAR: Is it --

24 DR. BARIC: You have to have a full-length molecular
25 clone of SARS coronavirus. If you have that and it doesn't



1 have a furin cleavage site, you could drop in a cleavage
2 site, using that clone. So technically, it could be
3 engineered, and it should be discussed.

4 MS. SALAZAR: Do you have any reason to believe that
5 they don't have that capability?

6 DR. BARIC: There is no evidence that they had a
7 molecular clone of that virus. They only had one molecular
8 clone. They weren't very good at reverse genetics. And
9 the molecular clone they had WIV1. And WIV1, they would
10 take spike genes of other sarbecos and drop it into that
11 clone because they had a difficult time making more clones.
12 The problem with coronavirus is part of the genome sequence
13 is really toxic in bacteria, so you have to come up with
14 ways around that.

15 And so best example of this is a virus emerged in
16 southern China called SARS coronavirus, 2016 or so. It
17 caused 100 percent or 99 percent mortality in piglets.
18 It's related to a virus called HKU2. They were trying to
19 make a molecular clone for that virus for three or four
20 years. We started around 2019, made a clone, published it
21 before them.

22 MS. SALAZAR: And why were they trying to do that?

23 DR. BARIC: I mean, they had a virus that caused 99
24 percent mortality in piglets that could destroy their swine
25 industry, so obviously it was important for them to develop



1 the reagents that they could use to develop countermeasures
2 and vaccinate swine.

3 The other issue, just to go on on that, you know, it's
4 hard to imagine a better reservoir species for emerging
5 virus than a swine. And the swine population, they have
6 billions of swine in China, so you have this massive
7 reservoir. And you have a virus that drops into those, and
8 that virus can use, although not very well, it can use the
9 human receptor for entry.

10 MS. SALAZAR: So I've seen SADS come up a lot when
11 people are talking about pan-corona vaccines.

12 DR. BARIC: I wouldn't include it in a pan-corona
13 vaccine because --

14 MS. SALAZAR: Am I mistaking it with PEDV?

15 DR. BARIC: It's a lower probability virus. Okay. So
16 for a pan-coronavirus vaccine you have pan-coronavirus beta
17 coronavirus vaccines and alpha coronavirus vaccines. So
18 this is an alpha coronavirus. So the only thing that
19 people have really worked on extensively are pan-beta
20 coronavirus vaccines, focused heavily on SARS coronavirus
21 and MERS coronavirus. So I don't imagine that that would
22 be included in any pan-beta coronavirus vaccine.

23 Now, for pan-alpha coronavirus vaccines, the classic
24 human coronaviruses are 229E and LN63. SADS would be
25 something that you might want to consider. But the fact of



1 the matter is that those viruses, in general, in Clade 1,
2 in alpha-coronavirus group have been much less well
3 studied. And so the threat potential and where that exists
4 really is a guess.

5 MS. SALAZAR: And then where does PEDV -- what is
6 PEDV?

7 DR. BARIC: What?

8 MS. SALAZAR: P-E-D-V.

9 DR. BARIC: Oh, porcine epidemic diarrhea virus.

10 MS. SALAZAR: What is that?

11 DR. BARIC: That's a virus that evolved, that emerged
12 in Europe, I don't remember exactly what year. It moved
13 across Europe and got into Asia and Southeast Asia around
14 2008 or so. It caused massive pandemics in China, and then
15 eventually it arrived here in the United States in 2012.

16 MS. SALAZAR: Did you do any work on that?

17 DR. BARIC: We worked with Linda Saif's group to
18 develop a molecular clone for porcine epidemic diarrhea
19 virus.

20 MS. SALAZAR: And did WIV, that you know of, have
21 that, or were they working on that?

22 DR. BARIC: I would be surprised if they weren't
23 working on it. I don't know if they published on it or
24 not. Linda Saif has swine models, gnotobiotic swine models
25 that she can study viral pathogenesis and vaccine



1 performance. Really her area of expertise. I was glad. I
2 like her a lot. We helped her students develop a molecular
3 clone and then we didn't work on it anymore.

4 MS. SALAZAR: Okay. I'm going to show you another
5 email here that you're on. It's dated October 24, 2018.
6 It's from Fang Li to you.

7 [Exhibit No. 2 shown.]

8 MS. SALAZAR: And it says, "It was nice chatting with
9 you in Wuhan. My student" -- and I don't know how to
10 pronounce this individuals name --

11 DR. BARIC: 2018. Okay. What's this about?

12 MS. SALAZAR: -- "has emailed you the information
13 about PEDV. My postdoc, C-H-U-M-I-N-G, will email you the
14 information about the MERS-like CoV-422 soon." Do you
15 recall that email?

16 DR. BARIC: Not really, but Fang Li does structural
17 biology and develops biochemical assays, to look at spike
18 receptor interactions. So I would guess that both of those
19 are around that topic.

20 MS. SALAZAR: Okay. Did you work on either of those
21 two?

22 DR. BARIC: We had published a paper on MERS 422. I
23 don't think Fang is an author.

24 MS. SALAZAR: Okay. So do you know what his postdoc
25 was emailing you about?



1 DR. BARIC: I'd have to see the postdoc's email.

2 MS. SALAZAR: Are you familiar with those two
3 individuals?

4 DR. BARIC: With Fang? Absolutely.

5 MS. SALAZAR: No, with the postdoc and his student.

6 DR. BARIC: Chuming, yes. Qibin, if he emailed me,
7 I'm familiar with his name.

8 MS. SALAZAR: Okay. Did you ever receive any of these
9 viruses from --

10 DR. BARIC: No. No one in the United States had MERS
11 422. We synthetically resurrected it in my lab.

12 MS. SALAZAR: And so who had it outside of the United
13 States?

14 DR. BARIC: I don't believe anyone had it. I would
15 have to review the Chinese papers again that were
16 published. The Chinese published a couple of papers on
17 different MERS strains. I don't think they used 422, and I
18 don't think they made molecular clones for either of them.

19 MS. SALAZAR: Okay. And did you ever receive any
20 biological samples by hand from any of Fang Li or Fang Li's
21 postdocs?

22 DR. BARIC: Fang Li sent me reagents in the mail. We
23 had collaborative grants together, so certainly we
24 collaborated and exchanged samples on occasion, yeah.

25 MS. SALAZAR: Reagents of what?



1 DR. BARIC: Well, the grants were formulated in such a
2 way that he would do biochemical studies and then we would
3 do some more validation studies in the context of live
4 viruses.

5 MS. SALAZAR: Are you aware of any association between
6 Fang Li and Yusen Zhou, Z-H-O-U?

7 DR. BARIC: Again, you should ask Fang Li those
8 questions.

9 MS. SALAZAR: Are you aware of Dr. Zhou?

10 DR. BARIC: You'd have to give me more context to what
11 they do.

12 MS. SALAZAR: The doctor that's married to Lanying Du
13 that passed away, that was the earliest coronavirus vaccine
14 in China from AMMS?

15 DR. BARIC: Oh, the person that committed suicide? I
16 did not know him. I may have met him, but I did not know
17 him.

18 MS. SALAZAR: Did you know his wife?

19 DR. BARIC: No. Again, I may have met him, so the one
20 time I was in Wuhan. I had dinner with a lot of people.
21 Some of them used American names. Some of them didn't. I
22 can't remember everybody's name.

23 MS. SALAZAR: And when did you go to Wuhan?

24 DR. BARIC: I think it was 2018.

25 MS. SALAZAR: Okay. And when you were in Wuhan, you



1 were there for a conference?

2 DR. BARIC: Yes.

3 MS. SALAZAR: And did you go initially to Asia for the
4 Wuhan conference, or did you go from another conference?

5 DR. BARIC: There was a conference in, if I remember
6 correctly, it was a conference in Hong Kong, and I went
7 from Hong Kong to Wuhan for a second conference.

8 MS. SALAZAR: And then did you go with any other
9 Americans?

10 DR. BARIC: I mean, there's a picture of the people at
11 that conference, and there are several Americans there. Do
12 you want me to mention their names?

13 MS. SALAZAR: Yes.

14 DR. BARIC: That's too bad. Jim Crowe. He's not
15 going to be happy with me. He was there, as well. He's a
16 Vanderbilt researcher who works on monoclonals. I think
17 Peter Daszak was there, as well. Those are the two that
18 immediately come to mind.

19 MS. SALAZAR: Fang Li?

20 DR. BARIC: I can't remember if Fang was there or not.

21 MS. SALAZAR: I think he was, because in this email he
22 said, "It was nice chatting with you in Wuhan."

23 DR. BARIC: There we go.

24 MS. SALAZAR: And so Peter Daszak was there, and we
25 have some emails that suggest that Zhengli Shi was also in



1 the group.

2 DR. BARIC: She was definitely there.

3 MS. SALAZAR: Did you all discuss -- and now we'll
4 move to DEFUSE -- did you all discuss DEFUSE while you were
5 there?

6 DR. BARIC: This is the DARPA proposal?

7 MS. SALAZAR: Yes.

8 DR. BARIC: Oh, I don't know if we discussed the DARPA
9 proposal. Is that, by that time it had been rejected, or
10 was it under review?

11 MS. SALAZAR: It had been rejected.

12 DR. BARIC: Yeah. I'm sure that it came up in terms
13 of it being rejected, but, you know, it happens on grants.

14 MS. SALAZAR: So the DARPA DEFUSE proposal has
15 obviously been the subject of a lot of discussion. I know
16 that for a lot of people it is hard to understand how it
17 did not come up earlier in the conversations. So I'm going
18 to ask, when did you raise, for the first time, with the
19 United States government officials that you were
20 interacting with -- I mean, you interacted with Erik Stemmy
21 on the 7th, Fauci on February 11, 2020. Did DARPA DEFUSE
22 come up in that meeting?

23 DR. BARIC: No.

24 MS. SALAZAR: Is there a reason why?

25 DR. BARIC: I hadn't remembered the grant. That



1 shocks you, doesn't it?

2 MS. SALAZAR: It does shock me.

3 DR. BARIC: Do you know how many grants I write?

4 MS. SALAZAR: I don't.

5 DR. BARIC: A lot.

6 MS. SALAZAR: Okay. But this grant, I mean,
7 especially with all the conversations of the furin cleavage
8 site, I mean, nothing about that grant.

9 DR. BARIC: Yeah. So from my perspective, let's talk
10 about the grant for a minute. Let's make sure we put it in
11 perspective. So DARPA was interested in addressing a
12 problem about the warfighter. And I think there's, what,
13 about 250,000 troops and their families across the globe.
14 Many of those soldiers go into wild areas of the world
15 where they come in contact with pathogens. So they can
16 then infect members of their group, they can take the virus
17 back to the base, and they can bring it back to the United
18 States. So this is a real threat, potential threat to the
19 public of the United States, because of where they go.

20 So DARPA came up with a proposal that they wanted to
21 know, down to the nucleotide level, what regulates a virus
22 jumping between species and then develop countermeasures
23 for it. Now, if you want to know, down to the nucleotide
24 level, what drives a cross-species jumping event, you are
25 talking gain-of-function experiments.



1 MS. SALAZAR: So is that what DARPA was -- I mean --

2 DR. BARIC: Yes.

3 MS. SALAZAR: -- they explicitly --

4 DR. BARIC: The part one was they wanted to know what
5 the threat potential was in certain wild areas of the
6 world, so you did surveillance.

7 MS. SALAZAR: So are you talking about what the DARPA
8 PREEMPT program --

9 DR. BARIC: Yes.

10 MS. SALAZAR: -- that they specifically said "we want
11 you to do gain-of-function research."

12 DR. BARIC: They said they want you to know, down to
13 the nucleotide level what drives cross-species jumping
14 events.

15 MS. SALAZAR: And that's gain-of-function research.

16 DR. BARIC: That's a gain-of-function experiment.
17 There's no other way to think about it. You can do loss-
18 of-function, but it doesn't prove that it's jumping across
19 there. All you say is you knock that mutation out, it
20 loses the ability. Gain-of-function proves it, and it's
21 causal. So in my opinion, when I read that, that's exactly
22 what they're asking for. They mention in there that this
23 could involve gain-of-function research in their
24 announcement. So part one of that grant was to do
25 surveillance in China, looking in that case for viruses.



1 As part of the thought process, there were two
2 questions. There were two ideas that came forward. One
3 was why don't sarbecoviruses have furin cleavage sites.
4 And I've studied coronaviruses all my life. I know
5 sarbecoviruses. I've seen zoonotic strains. They don't
6 have furin cleavage sites. MERS strains do. Human
7 coronaviruses do. Feline coronaviruses do. Why don't
8 sarbecos? There should be sarbecos out there that have
9 furin cleavage sites, so they were going to look for them.

10 Once they found them and they sequenced it they were
11 going to work on it with pseudotypes. They were going to
12 drop those spike genes into pseudotypes and ask what's --
13 we were also going to look at the receptor binding domain,
14 obviously, and I think we hypothesized in there that there
15 should be strains with 25 percent variation in spike that
16 could still use the ACE2 receptor. And those are obviously
17 of interest because if you're interested in developing pan-
18 sarbecovirus vaccines or drugs you want, in essence, the
19 bookends of the heterogeneity that exists in the virus
20 subgenus, right. You want strains that you know and
21 strains that are very different, because you have no idea
22 what would emerge in the future. So if you have breadth
23 then you have a better chance of developing something that
24 could be used immediately.

25 So those are two major features. The first part would



1 be then to find sarbecoviruses. If we found the
2 sarbecovirus with a furin cleavage site it would be dropped
3 into a pseudovirus, and its biological characteristics
4 evaluated by the Chinese. They would remove the furin
5 cleavage site. They would introduce changes into the
6 receptor binding domain and look in the context of those
7 pseudotypes, which is the safe system, to ask questions
8 about what the role of those mutations were in tropism and
9 entry of viruses in receptor usage.

10 Following gleaning that data, we would then use a
11 zoonotic sarbecovirus as a receptacle to drop the spike
12 genes of some of these viruses, and if we found one with
13 full-on furin site we would put it in there and evaluate
14 its biology. We would also remove that furin cleavage site
15 and ask what the effect was on pathogenesis in replication.
16 So it's a loss-of-function first.

17 At the end of that there was speculation that if the
18 furin cleavage site looked like it was having effect on
19 replication of pathogenesis we would consider putting it
20 into a gain-of-function scenario where we would drop it
21 into a null backbone. So that's how the experiment was
22 written.

23 MS. SALAZAR: And who was going to be doing that work?

24 DR. BARIC: That part would be done by me.

25 MS. SALAZAR: Okay.



1 DR. BARIC: Well, at least that's the way the grant
2 was written initially. Whether that would have
3 functionally happened is a fair question, because if the
4 Chinese discovered the virus, the question always exists
5 whether they would share it with me to do that work or not.

6 MS. SALAZAR: If you were on the proposal, you would
7 have expected that they would?

8 DR. BARIC: I would have, but I would also be
9 uncertain that they would do that.

10 MS. SALAZAR: And so you said that it would be -- this
11 was gain-of-function. This proposal -- because this is the
12 first time I'm hearing that -- because, I mean, PREEMPT,
13 there were grants that were funded. So DEFUSE wasn't. But
14 this is the first time I'm hearing that the actual call,
15 the BAA was asking for --

16 DR. BARIC: It was asking to understand cross-species
17 movement of viruses down to the nucleotide level. And my
18 interpretation of that was gain-of-function, and they
19 discuss gain-of-function. Now, I found it very interesting
20 that the letter that they provided shortly thereafter said
21 that the experiments were DURC. DURC is Dual-Use Research
22 of Concern. involving 15 pathogens, none of which is a
23 coronavirus. So I never saw that letter in the review.
24 Now, Peter Daszak may have gotten that letter, but we were
25 told the main reason the grant was rejected was that we



1 proposed to do intervention studies in the bat caves, and
2 they had said they wanted to do this in a more controlled
3 setting.

4 MS. SALAZAR: In the laboratory?

5 DR. BARIC: In a laboratory setting.

6 MS. SALAZAR: So when you say in a bat cave, what does
7 that mean?

8 DR. BARIC: It means one approach was to take double-
9 stranded RNA and spray it in the bat cave and then induce
10 innate immune responses in bats, so that those innate
11 immune responses would control virus infection and virus
12 titers would go down. So the idea would be the soldiers,
13 before they went into a cave, they may spray the cave to
14 lower the titer of the virus in the cave so it's less
15 likely that they'd be infected. DARPA has kind of wild
16 experiments.

17 MS. SALAZAR: But where was that portion? So I think
18 --

19 DR. BARIC: Well, that would've had to have been done
20 in China because it was based on the strains that would be
21 found in the cave complex that they were doing their
22 discovery work.

23 MS. SALAZAR: I recall that there was some Michigan --

24 DR. BARIC: Yeah. There was a Michigan group that had
25 these kind of sprayers --



1 MS. SALAZAR: But that was part --

2 DR. BARIC: Yeah, that was part of it, but the
3 Michigan group also had a vaccine vector where we would put
4 in viral antigens to try to immunize the bats.

5 MS. SALAZAR: And I think that there was, in the
6 actual proposal, there was discussion about going to caves
7 in Michigan. So to me that sounds like we might have been
8 doing this in the United States as opposed to China.

9 DR. BARIC: If they were doing it in Michigan, what
10 they were doing were pilot experiments to see if the
11 approach, especially the double-stranded RNA approach,
12 reduced virus titers for North American strains. And
13 there's no sarbecoviruses in North America at this time.

14 MS. SALAZAR: So what they would be spraying in the
15 caves would not be the gain-of-function?

16 DR. BARIC: No.

17 MS. SALAZAR: Okay. Why is that?

18 DR. BARIC: So gain-of-function, you're talking about
19 a live virus.

20 MS. SALAZAR: What would they be spraying?

21 DR. BARIC: They're spraying a small, double-stranded
22 RNA molecule that induces host innate immune responses.
23 That's host defense molecules that prevent virus infection,
24 or limit virus replication.

25 MS. SALAZAR: Why are they trying to do these two



1 different tasks? So they're doing something to gain
2 function and they're doing something to spread --

3 DR. BARIC: No. So you're confounding experiments.
4 The first aim, again, is to sort of walk through this
5 process of what makes a threat virus, how do you identify
6 it, and how do you then try to counter that threat
7 approach.

8 MS. SALAZAR: With, so --

9 DR. BARIC: So there were two approaches that were
10 discussed, that were presented in that grant. One was the
11 use of small molecule, double-stranded RNA to induce, let's
12 call it innate immunity. These involve proteins that are
13 induced in every one of our cells that regulate the
14 replication and pathogen assists of viruses, and bacteria
15 for that matter. So most people in the scientific
16 community feel that what determines whether you live or die
17 following a virus infection is the efficiency of inducing
18 these innate immune molecules that regulate virus
19 replication efficiency. And there's about 1,000 different
20 genes that host cells make that target key steps in virus
21 replication to knock it down. And it all starts with
22 interferon signaling. Okay, that's the basis for it.

23 MS. SALAZAR: But this is all under one proposal.
24 You're saying that these were separate technical aims.

25 DR. BARIC: That's correct.



1 MS. SALAZAR: So when you have a separate technical
2 aim you just have two completely separate experiments that
3 are never going to meet, that have zero connectivity?
4 That, to me, I don't know, but that doesn't make logical
5 sense to me that you would have -- why wouldn't you have
6 separate --

7 DR. BARIC: I think you're making an incorrect
8 intuitive leap. I was trying to describe the two
9 approaches that were being used to control viruses in a
10 cave setting. The other approach was that having
11 identified strains of viruses that had spike genes that
12 could infect human cells and potentially cause human
13 disease, those spike genes would be isolated and placed in
14 virus vaccine vectors that would then be delivered to the
15 bats in the cave, is the second approach. The first
16 approach is immediate innate immune knockdown so that the
17 virus burden in the cave goes down and it's less likely
18 that a soldier will be infected. The second approach is to
19 immunize the bats in that cave so that a virus burden would
20 be very, very low, and it would be long-term protection so
21 the cave could be used for a much longer period of time.
22 So they are linked but they're different approaches to
23 abrogate virus replication.

24 MS. SALAZAR: Okay. Would this have been subject to
25 the gain-of-function pause in 2017?



1 DR. BARIC: So the short answer is no, up to a point,
2 okay.

3 MS. SALAZAR: What's the point?

4 DR. BARIC: I'm going to get to that. So if you go
5 back to the NSABB P3CO regulations, the regulations state
6 that viruses, like flu, SARS, and MERS coronavirus, are
7 highly pathogenic viruses, and highly transmissible viruses
8 are covered by this program. And if you do anything on
9 these particular viruses that are highly pathogenic or
10 highly transmissible, and you do any manipulation to
11 increase that, then that's considered gain-of-function and
12 regulated by those documents, based on my read of them.

13 There are exemptions to that gain-of-function
14 regulation framework. The first is that if you work with
15 zoonotic viruses, like WIV1, which has never been show to
16 infect a human being, that has never shown to be
17 transmissible in humans, it is not subject to the gain-of-
18 function regulatory framework. It has to be reviewed, but
19 the review process would require that it be -- the review
20 process would, based on the regulations, say it's not
21 subject to those gain --

22 MS. SALAZAR: But how do you know what you don't know?

23 DR. BARIC: Secondly, if you're doing work that's
24 related to the development of vaccines or serology, it's
25 also except from the gain-of-function policy.



1 MS. SALAZAR: Or national security. That was also an
2 exemption.

3 DR. BARIC: I don't know what you mean by that.

4 MS. SALAZAR: Yeah. I'm just saying it was. I wasn't
5 --

6 DR. BARIC: So anyway, all the work with pseudotypes
7 was not subject to gain, right. These are single-hit
8 vectors that can't cause disease. As soon as we made full
9 molecular clones, or chimeras, they were done in a WIV
10 backbone, which meant that they're putting a zoonotic spike
11 into a zoonotic strain, to eventually identify vaccine
12 formulations that would be used in the cave, that's not
13 subject to gain-of-function.

14 MS. SALAZAR: It's not.

15 DR. BARIC: That's not, according to my records. And
16 in the grant in the very beginning it says that's not
17 subject to gain-of-function. Now, if I remember correctly
18 there is one sentence in there somewhere that says that we
19 will consider potentially moving spike gene, or furin
20 cleavage site into SARS coronavirus. That is definitely
21 gain-of-function and would have to be reviewed before
22 anything else was done. But that occurred boom, boom,
23 boom, boom. You know, we're talking about eight steps down
24 the line, where you gain a significant amount of data about
25 the role of each spike, its ability to use different



1 receptors, and the role of the furin cleavage site in the
2 ability of that virus to replicate and cause disease,
3 before you do anything about moving it into another strain.
4 So there was a huge amount of data that would be available
5 to say this could be safe or we shouldn't do this.

6 MS. SALAZAR: So is it only gain-of-function in that
7 scenario if you know it's --

8 DR. BARIC: Well, again, you're dealing with -- okay.
9 So prior to 2015 paper, we had little if any evidence to
10 indicate that any bat sarbecovirus was capable of
11 replicating efficiently on the human receptor, especially
12 in the context of a primary cell derived from the lung, the
13 airways of a human. And so there was no data to support
14 the idea that these were important threat viruses. After
15 that paper, it said that there are threat viruses, followed
16 by the WIV1 paper, that said there are threat viruses in
17 nature, in bats, that can have the potential to cause
18 serious human disease. We don't know for sure.

19 A good example of this is there are strains that use
20 the DPP4, no, let's say the ACE2 receptor of SARS
21 coronavirus, and you put them in K18 mice that overexpress
22 that ACE2 molecule, you can find genome equivalents but no
23 live virus. In other words, the virus gets in, it makes a
24 bunch of those progeny RNA molecules, but it doesn't make
25 any progeny viruses so it can't spread.

1 So the idea that just the virus receptor interaction
2 and entry is the whole key to driving a virus to become a
3 pandemic is just nonsense, and it's always been nonsense.
4 In the case of coronaviruses, there are 49 genes in each of
5 our bodies that regulate disease severity, and the person
6 who gets really sick has a very common loci on chromosome
7 three, with five genes on it, that we inherited from
8 Neanderthals, according to the reports, based on people
9 that do the sequence comparisons. And if you have that
10 loci you're going to get really sick with sarbecoviruses.

11 MS. SALAZAR: But so --

12 DR. BARIC: And it's nothing to do with entry. You
13 can have scenarios where the virus grows to the exact same
14 titer, and one person dies and the next person doesn't even
15 know they're sick.

16 MS. SALAZAR: But so the actual moving, the cleavage
17 site --

18 DR. BARIC: Yes.

19 MS. SALAZAR: -- into WIV1 --

20 DR. BARIC: Yes.

21 MS. SALAZAR: -- is that gain-of-function?

22 DR. BARIC: Um --

23 MS. SALAZAR: Because do you know what is --

24 DR. BARIC: It would depend on the outcomes of all the
25 earlier experiments, right. So remember, one of those



1 experiments was to take the virus that had the furin
2 cleavage site in it, resurrect that virus, put it in
3 animals, see what the effect was. Remove the furin
4 cleavage site, see what the effect was. If the furin
5 cleavage site is driving a massive disease phenotype, then
6 that results in a judgment question about whether you would
7 do the next experiment. And it also would then demand the
8 importance of having it reviewed before you proceeded.

9 MS. SALAZAR: Okay.

10 DR. BARIC: Before you proceed.

11 MS. SALAZAR: So are you saying that we can never say
12 whether a grant proposal was proposing to do gain-of-
13 function until we get all the way up to the point where you
14 actually almost do it?

15 DR. BARIC: No. I'm saying in the context of a grant
16 proposal where the agency asks you specifically to learn,
17 down to the nucleotide level, what causes the phenotype,
18 yes, you do all those experiments.

19 MS. SALAZAR: Okay. So are you saying that all of the
20 other entities that were awarded grants --

21 DR. BARIC: I have no idea what they were awarded.
22 All that I know is that we were not awarded.

23 MS. SALAZAR: Okay. I mean, you were not awarded, but
24 there was discussion post the denial about doing a smaller
25 proposal, separating it out.



1 DR. BARIC: I mean, that's pretty routine. But I
2 don't believe that, at least from the U.S. side, I don't
3 believe there was any grant written, that I'm on, where we
4 proposed to do experiments of furin cleavage sites. Yet,
5 the reason why I became less interested in it was we
6 discovered that if you add trypsin exogenously to the
7 cultures, viruses that you couldn't culture could now be
8 cultured. And that's because cleavage of S1/S2 boundary,
9 cleavage of the spike, is essential for the virus to get
10 into the cell. And if it can't be cleaved, the virus
11 replicates exceptionally poorly, if at all. But if you add
12 trypsin, which is a protease, into the media, you could
13 take viruses that you couldn't culture and now you could
14 culture them.

15 So to some extent I was more interested in being able
16 to have a range of coronaviruses. So, for example,
17 remdisivir was tested in about 12 or 13 coronaviruses,
18 including many different sarbecos, from Clade 1 and Clade
19 2. So we had a really good idea that it would work against
20 an unknown, and it turned out to be effective against SARS-
21 coronavirus-2 when used appropriately.

22 MS. SALAZAR: So let's go back, though. I mean, you
23 said you don't recall.

24 DR. BARIC: There's a lot of things I didn't recall.
25 What are you talking about?

1 MS. SALAZAR: The DEFUSE proposal. So in all of these
2 conversations --

3 DR. BARIC: Oh yes, yes.

4 MS. SALAZAR: -- I mean, you were on -- it was on --

5 DR. BARIC: The first time --

6 MS. SALAZAR: -- let me finish my question. On the
7 Proximal Origins call, was the furin cleavage site a topic
8 of discussion?

9 DR. BARIC: You mean the February 1st call?

10 MS. SALAZAR: The February 1st call.

11 DR. BARIC: Yes, it was.

12 MS. SALAZAR: Okay. And that didn't spur, you
13 remembered the grant, which was discussed --

14 DR. BARIC: No. Again, you have to put it in context.
15 The context is I was asked to write a section of the grant
16 that was maybe two pages long, maybe three. It was done
17 over about a one- to two-week period of time, and then I
18 didn't look at that grant again.

19 MS. SALAZAR: But there were other people on that
20 grant, and it was controversial when it was released, but
21 we're not even there yet.

22 DR. BARIC: I was trying to tell you, when I remember
23 the grant it was when it was released, and I was surprised.
24 And then I looked at it and then I remembered the whole
25 grant, yes.



1 MS. SALAZAR: So did you find out about it for the
2 first time on September --

3 DR. BARIC: That was when DRASTIC sort of released it.

4 MS. SALAZAR: Was it DRASTIC, or someone released it,
5 but I think it was September 2021.

6 DR. BARIC: That might true, correct.

7 MS. SALAZAR: So up until that point, you had no
8 discussions about the DIFFUSE proposal.

9 DR. BARIC: Not that I'm aware of. I was busy on
10 other things.

11 MS. SALAZAR: There were other people on that grant,
12 so Peter Daszak.

13 DR. BARIC: Yes, he would have been there.

14 MS. SALAZAR: But he never raised it. To me it's odd
15 that you wouldn't recall that.

16 DR. BARIC: If he would've raised it, I would've
17 remembered it then, because he would've put it in context.

18 MS. SALAZAR: And he would've told you, right.

19 DR. BARIC: Yeah. I think you have to think about
20 where the focus of the research community was. My focuses,
21 at the time, were to rapidly develop mouse models so that
22 we could test mRNA-LNP-based vaccines against SARS-2, to
23 work with Gilead to get their drug forward into clinical
24 trials, to get molnupiravir, a second drug that was FDA
25 approved for use in humans, into clinical trials.



1 MS. SALAZAR: But, you know, part of the conversation

2 --

3 DR. BARIC: Yeah, hindsight is always 20/20 in this
4 case. So you can look at a document and say why can't I
5 remember.

6 MS. SALAZAR: No. I mean, I think part of the
7 conversation, though, for a long time, was, I mean, the
8 debate over whether it was a natural original, a lab leak,
9 you know, accidental or not. I mean, this was a
10 conversation that was happening in the public. There were
11 multiple papers authored. We had Proximal Origins. People
12 were shamed and called conspiracy theorists. I mean, is
13 that not true?

14 DR. BARIC: That's true.

15 MS. SALAZAR: I mean, my understanding is you received
16 threats. You know, like it's not like no one was talking
17 about this. And, you know, even if you may not have
18 remembered it, how many people were on that grant? Amy
19 Sims, so she never said, "Oh, remember that grant that we
20 were on?"

21 DR. BARIC: Amy Sims left the lab right before the
22 pandemic started.

23 MS. SALAZAR: Have you ever spoken to her since?

24 DR. BARIC: Off and on, yeah.

25 MS. SALAZAR: And she never raised it with you?



1 DR. BARIC: No, she didn't.

2 MS. SALAZAR: And where is she now?

3 DR. BARIC: She is at Pacific Northwest Laboratories.

4 MS. SALAZAR: Peter Daszak never raised it?

5 DR. BARIC: I can't say he never raised it. I can say
6 that I don't recall him ever raising it in the time frame
7 we're talking about.

8 MS. SALAZAR: Zhengli Shi?

9 DR. BARIC: I don't communicate with her that much, so
10 no.

11 MS. SALAZAR: Tonie Rocke?

12 DR. BARIC: I don't know.

13 MS. SALAZAR: Do you talk to Tonie Rocke?

14 DR. BARIC: No.

15 MS. SALAZAR: So no one from the government either
16 raised this with you?

17 DR. BARIC: No. Not that I'm aware of.

18 MS. SALAZAR: Okay. I mean, it is --

19 DR. BARIC: I mean, do you have records that I could
20 look at? I'd be glad to look at them. And I'm sorry, but
21 again, I guess the other issues you need to put this in
22 context are, Kristian Andersen, in the Proximity [sic]
23 Origins paper, made a pretty articulate argument why he
24 thought it was not engineered. And it's based on, one, you
25 have to have a molecular clone that would be identical or



1 nearly identical to SARS2, which did not exist. It
2 certainly did not exist in my lab. The second thing you
3 needed to do is to explain its weird insertion into the
4 spike of the S1/S2 boundary. And it's an out-of-frame
5 insertion that puts in four residues, when in reality
6 there's only three residues that need to be introduced into
7 it if you wanted to make a furin cleavage site there. And
8 so why are there four residues there? Why is it out of
9 frame? That doesn't make sense. So why is there a proline
10 residue? Prolines cause kinks in proteins, so that can be
11 very disruptive.

12 MS. SALAZAR: So you thought that the Proximal Origins
13 argument was well articulated?

14 DR. BARIC: In terms of the furin cleavage site, yes.
15 And they argued that the most likely event was a
16 recombination event, I think with a virus called HKU9, that
17 had identical region.

18 MS. SALAZAR: The paper, generally.

19 DR. BARIC: Oh, the paper, generally, I disagreed with
20 the idea that you could completely rule out the lab leak,
21 and actually wrote a commentator, commentary piece --
22 what's the word?

23 MR. ERVIN: Commentary.

24 DR. BARIC: Thanks. A commentary piece that was
25 published in Immunity, May 2020, that stated, equivocally,



1 that it is unlikely that the lab leak hypothesis will go
2 away because the safety procedures at the WIV, and I cited
3 their paper where they worked with viruses at BSL-2.

4 MS. SALAZAR: Have you ever done any gain-of-function
5 work for DARPA?

6 DR. BARIC: For DARPA? No.

7 MS. SALAZAR: For DoD?

8 DR. BARIC: No.

9 MS. SALAZAR: For any other Federal agency?

10 DR. BARIC: Besides the NIH? I mean, technically, the
11 work that we did with MERS, where we were given a waiver,
12 as far as I know it was never really reviewed for GOF. It
13 decided that it was in the national interest to develop a
14 mouse model so that countermeasures could be developed
15 against MERS. The virus has a 35 percent mortality rate.
16 It could transmit to people, and it could transmit through
17 asymptomatic spread. So there's a threat potential there.
18 I think NIH made the right call in that case, that you
19 develop the appropriate reagents so that you can move
20 countermeasures forward to protect the public.

21 MS. SALAZAR: Okay. So have you ever done gain-of-
22 function research under any other federally funded grant?

23 DR. BARIC: No.

24 MS. SALAZAR: Have you ever, you know, created a virus
25 or provided sequences or done something under a contract



1 where you were not the one that was actually conducting
2 gain-of-function research, but the overarching experimental
3 research was --

4 DR. BARIC: Can you put that into a direct example,
5 rather than this global thing that requires me to remember
6 everything that I did in the last 40 years of my life?

7 MS. SALAZAR: The only gain-of-function that you have
8 ever done or been involved in is the one that you received
9 an exemption for.

10 DR. BARIC: Every gain-of-function? Once the pause
11 occurred, anything that we did that had potential for gain-
12 of-function was reviewed by the NIH, yes.

13 MS. SALAZAR: I mean, so you only did that kind of
14 work for NIH.

15 DR. BARIC: Yes.

16 MS. SALAZAR: The pause only applied to NIH.

17 DR. BARIC: Yeah, I mean, that's the major funding
18 source for me.

19 MS. SALAZAR: Is it the only funding source?

20 DR. BARIC: I said the major funding source, yes.

21 MS. SALAZAR: What are your other funding sources?

22 DR. BARIC: I've been supported by companies before.
23 I've been supported by foundations like Burroughs Wellcome
24 Trust. I have received money from the Cancer Institute of
25 NIH. I did get DARPA money, now that I remember it, one



1 time. It was a DARPA grant that was funded to Pacific
2 Northwest Labs to study dengue virus, and so we did work
3 with them on dengue virus.

4 MS. SALAZAR: And was that in -- that was early 2013?
5 Is that the Prophecy program?

6 DR. BARIC: Prophecy program?

7 MS. SALAZAR: Prophecy program, or the P3 program?

8 DR. BARIC: Can I see that?

9 MS. SALAZAR: No, you can't, because it's my notes.
10 The Prophecy program was exploring the evolution of viruses
11 in the hope of predicting viral mutations and ultimately
12 developing drugs and vaccines in advance of need. So that
13 was around 2013.

14 DR. BARIC: Was that a -- I think that was a different
15 grant that didn't get funded, if I remember correctly.

16 MS. SALAZAR: And it looks like we have an email that
17 shows that --

18 DR. BARIC: Who's on it?

19 MS. SALAZAR: It's to you. It's someone from DARPA.
20 DARPA researcher John Julius emailed you and Amy Sims on
21 March 14, 2013, and wanted to speak to you for that
22 program, about your experience in modeling host pathogen
23 interactions and the ability to predict genetic variations
24 within a viral population, particularly with coronavirus
25 model systems.



1 DR. BARIC: Yeah, okay, I remember that. No, it's a
2 different thing.

3 MS. SALAZAR: So you didn't end up --

4 DR. BARIC: That was never funded. Yeah, we discussed
5 it with them, and I actually think a proposal was written,
6 and they were thinking about funding it, and then the
7 program officer left, and the next program officer said
8 they weren't interested in it, and that was the end of it.

9 MS. SALAZAR: So then the dengue program, it was under
10 the Pandemic Prevention Path, the platform P3.

11 DR. BARIC: It's funny around that grant. That grant
12 went to the Pacific Northwest Labs, and then they asked me
13 to participate on dengue virus with it. So I don't know
14 the name of the request.

15 MS. SALAZAR: Okay. Have you ever done any work with
16 any laboratories in Sri Lanka?

17 DR. BARIC: Sri Lanka.

18 MS. SALAZAR: Mm-hmm.

19 DR. BARIC: A good collaborator that I have, who is
20 Aravinda de Silva, who came from Sri Lanka, has connections
21 there. And I've been funded with Aravinda and Eva Harris
22 for a variety of dengue research and Zika research. So
23 serum samples, we've gotten serum samples from both Sri
24 Lanka and from the Philippines and from Nicaragua to do
25 studies on flavivirus evolution, and identifying major



1 neutralizing epitopes for the development of therapeutic
2 antibodies in vaccines. But I have never been to Sri Lanka
3 or the Philippines. I have been to Nicaragua for a meeting
4 with a P01 that I had, and currently have with Eva Harris,
5 that studies dengue virus pathogenesis. It studied dengue
6 virus pathogenesis in children in Nicaragua for the past
7 20-some years. It's the longest-running natural infection
8 cohort on dengue virus, which has identified a large number
9 of important features associated with what drives
10 pathogenesis, and has informed the vaccine development.
11 Currently we're funded with Aravinda to develop next-
12 generation protein-designed vaccines against dengue.

13 MS. SALAZAR: And what agency funds the work that you
14 had done --

15 DR. BARIC: NIH.

16 MS. SALAZAR: -- with Sri Lanka?

17 DR. BARIC: Yes.

18 MS. SALAZAR: Okay. Going back to PREEMPT, did you
19 ever receive any funding through the PREEMPT program,
20 either as a direct awardee, subcontractor, subawardee?

21 DR. BARIC: This is the PREEMPT --

22 MS. SALAZAR: The PREEMPT --

23 DR. BARIC: This is a DARPA grant we're talking about?
24 No, I never have.

25 MS. SALAZAR: Autonomous -- ATI?



1 DR. BARIC: What do you mean, ATI?

2 MS. SALAZAR: ATI, the company Autonomous Therapeutics
3 International? Someone say yes or tell me if that's the
4 correct name. Have you ever done any work with them?

5 DR. BARIC: I don't know. You're going to have to
6 give me more context. I can't remember it off the top of
7 my head.

8 MS. SALAZAR: Let's go back to funding. Did you
9 always receive funding for work that you did?

10 DR. BARIC: What do you mean?

11 MS. SALAZAR: I mean, I think we talked about this a
12 little bit earlier, but, I mean, would there always be a
13 funding stream for work that you did? If you created a
14 virus or did anything like that, would you always be paid
15 for it, and how would you track that?

16 DR. BARIC: So the way research grants work is that
17 there are several aims, sub-aims. And research grants are
18 always written in the context of being more fluid than
19 contracts. So discoveries that you make in the context of
20 doing the science may cause direction changes, which are
21 then typically reported to the NIH, in your progress
22 reports. So are you asking me if -- from how I just
23 answered that, what's the context of the question?

24 MS. SALAZAR: So if you were going to, you know,
25 transfer any kind of biological material, would there



1 always be like a material transfer agreement?

2 DR. BARIC: Oh, absolutely. Well --

3 MS. SALAZAR: That may or may not --

4 DR. BARIC: Yes.

5 MS. SALAZAR: -- are there cases where there may not
6 be?

7 DR. BARIC: Well, sometimes for large program project
8 grants there would be a universal MTA created among all of
9 the researchers so that you can share reagents. That's one
10 form. And the other form is somebody will call and ask you
11 for reagents and you do an MTA agreement.

12 MS. SALAZAR: Going back to --

13 DR. BARIC: I would say that 99 percent of them were
14 done as MTA agreements. There would probably be outliers,
15 occasionally, but that would be on existing projects where
16 collaborations exist through a grant, and reagents are
17 needed to further the aims of the grant.

18 MS. SALAZAR: Did you have a UBMTA with NIH-RML?
19 What's the date on this? It's from 2023, on pangolin beta
20 coronaviruses, where it looks like you would be providing
21 original material to Vincent Munster from RML. Pangolin
22 coronavirus was the original material, and the transmittal
23 fee to reimburse the provider for preparation and
24 distribution costs amount is zero. Is that something that
25 was executed?



1 DR. BARIC: I recall sending them WIV1 and SHSC014 for
2 studies in bats. It's possible that we sent them the
3 pangolin coronavirus. I'd have to check the MTA
4 agreements.

5 MS. SALAZAR: I guess, is that something that's
6 published, the pangolin beta coronavirus? What is that?

7 DR. BARIC: I had mentioned it previously. It's
8 called pangolin coronavirus GD strain, and it was published
9 in Nature Microbiology.

10 MS. SALAZAR: And do you know what RML was doing with
11 that?

12 DR. BARIC: RML, again, I'd have to look at the MTA
13 agreement that would tell me what was in it. We would have
14 to pull it from the MTA. I can tell you that during the
15 pandemic we did hundreds of MTA agreements with research
16 institutions in the United States. If you ask me if I can
17 remember this one directly, I can't, because if I had a
18 person in my lab who I would say, "Take care of this MTA
19 agreement," and they would do it.

20 MS. SALAZAR: Is it typical that the transmittal fee
21 would be zero? I just don't know.

22 DR. BARIC: It depends on how much money I would have.

23 MS. SALAZAR: Okay. Like what does that range
24 sometimes look like?

25 DR. BARIC: Whatever the shipping cost are.



1 MS. SALAZAR: Okay. And how much are shipping costs?

2 DR. BARIC: You know, I haven't shipped anything. I
3 have somebody else I have to do it. We have a person who's
4 trained as a shipper, and they take care of it.

5 MS. SALAZAR: Okay. I want to go back to the DARPA
6 question on PREEMPT. I'll show you this email, and I don't
7 have another copy of it right now. But it is dated March
8 7, 2019. It's from Vineet Menarchery, and you have a
9 couple of other folks on here from Autonomous Therapeutics.

10 [Exhibit No. 3 shown.]

11 MS. SALAZAR: It says, "Ralph, I've been working on a
12 DARPA proposal with Autonomous Therapeutics on MERS-CoV
13 with a quick turnaround. They're funded for another DARPA
14 project to generate therapeutic interfering particles, and
15 are looking to apply their approach for MERS on this
16 specific supplement call. We have been chatting for a few
17 weeks, and they are interested in both in vitro and in vivo
18 experiments with their MERS-CoV targeted TIPS. I am
19 currently planning to work with them on the in vitro side,
20 but suggest they talk directly to you about the DPPR
21 288/330 model."

22 DR. BARIC: Okay.

23 MS. SALAZAR: Do you recognize this?

24 DR. BARIC: Within the contest of Vineet, yes.

25 MS. SALAZAR: Did you end up doing work with --



1 DR. BARIC: I don't know. I don't believe that I ever
2 had -- I mean, this is an easy thing for us to test, to see
3 if we had money from them. But I'm 99 percent sure that we
4 never received any money from this group. The other
5 possibility would be that Vineet asked me to send him the
6 mouse model. The 288/330 is the mouse model that I talked
7 about for MERS earlier. And so if he had that agreement
8 with them and he wanted it, he's a former postdoc, I
9 would've sent it to him in a second.

10 MS. SALAZAR: Because I believe this is a PREEMPT-
11 funded.

12 DR. BARIC: It might be, but I'm not funded on it.

13 MS. SALAZAR: Okay.

14 DR. BARIC: We can check it to make sure. I think we
15 should. But is it not firing any memory for me.

16 MS. SALAZAR: Okay.

17 DR. BARIC: Oh, I put a line on your --

18 MS. SALAZAR: That's find.

19 DR. BARIC: Is it okay? I put a market on your paper.

20 MS. SALAZAR: Do you have unpublished sequences?

21 DR. BARIC: Of --

22 MS. SALAZAR: Of anything.

23 DR. BARIC: Of anything? Oh, probably. Well, I had a
24 research assistant professor back in 2010 do a study in bat
25 caves in Maryland as part of the Regional Centers for

1 Excellence in Biodefense. It wasn't my grant. It was his
2 grant. And he collected bat samples from bat hibernacula
3 in the Maryland/West Virginia border and did deep
4 sequencing on them. So he published that, and I believe he
5 put all the sequences available to the public. But I can't
6 100 percent say for certain that he did that, since it was
7 about 15 years ago or so. So there may be sequences there.

8 We have sequenced fecal samples from norovirus-
9 infected patients that we may not have published the
10 sequences of those noroviruses yet.

11 As part of our grant with Eva Harris, and the
12 Philippines study, where Sanofi Pasteur vaccinated kids in
13 the Philippines and a bunch of them got infected, my lab
14 sequenced all the viruses that were present in those
15 patients, and that has not yet been published.

16 So the short answer is yes, I do. If you're asking
17 the broader question, do I have unpublished sequences for
18 sarbecoviruses -- unpublished. Unpublished means that --

19 MS. SALAZAR: Not publicly available.

20 DR. BARIC: Yeah, right. So the answer is no. I have
21 sequences for sarbecoviruses that we've recovered that we
22 have not published yet, but we got those sequences from the
23 published literature.

24 MS. SALAZAR: Okay. And have you ever been asked not
25 to publish a sequence?



1 DR. BARIC: I have chosen not to publish some work.

2 MS. SALAZAR: Have you chosen to not at the urging of
3 another?

4 DR. BARIC: No.

5 MS. SALAZAR: Okay. So I guess what is the work that
6 you've chosen not to, and can you tell me why?

7 DR. BARIC: I'd prefer to talk about that this
8 afternoon.

9 MS. SALAZAR: Is it classified?

10 DR. BARIC: No.

11 MS. SALAZAR: Can you tell me why? I mean, there's no
12 difference. If it's not classified -- I don't want you to
13 have a false sense of security that if we talk about that,
14 it's not classified, it's not. You know what I mean? It's
15 the same as saying it in here.

16 MR. LAMBETH: I know it's fine for you to talk about
17 it.

18 DR. BARIC: Do you know what I'm going to talk about?

19 MR. LAMBETH: Maybe we should confer, but I think I
20 do.

21 DR. BARIC: Maybe we should confer. The reason -- I
22 don't know how to say this.

23 MS. SALAZAR: Would you like to confer?

24 MR. LAMBETH: Yeah.

25 DR. BARIC: Let's confer, yeah.



1 MS. SALAZAR: Let's go off the record.

2 MR. LAMBETH: Five minutes or less.

3 [Recess.]

4 MS. SALAZAR: We're back on the record. It's 11:38.

5 MR. LAMBETH: Sorry. Before Dr. Baric begins his
6 answer I just wanted to say that one of the examples he
7 will give he believes could implicate national security.
8 It's not classified, but we will ask that it be redacted,
9 and are happy to confer about that later.

10 DR. BARIC: Okay. So I'll give the straightforward
11 ones first. When we published the 2015 paper, we did not
12 include the full-length sequence of the chimera. And the
13 reason we did not do that is that I initiated a
14 conversation with NIH program and their research journal to
15 request that that sequence not be provided so that no other
16 person would have an exact template for how to build
17 chimeric viruses. I never shared with the Chinese how we
18 did it. I never provided them with the sequence. I never
19 trained anyone from their job in coronavirus reverse
20 genetics. So that's one example of where we specifically
21 did not provide a sequence until after the pandemic
22 started, when several researchers wrote Nature Medicine and
23 said, "We want to look at the sequence to determine whether
24 it's the cause of the pandemic."

25 MS. SALAZAR: And what was the sequence?



1 DR. BARIC: The sequence of the SHC014 chimera. So we
2 provided that, and we also put the WIV1 sequence up in
3 PubMed and they analyzed that sequence and agreed that it
4 was not the cause of the pandemic.

5 MS. SALAZAR: And that was your decision, solely. No
6 one encourage you or suggested?

7 DR. BARIC: Nobody encouraged me or suggested it. In
8 this case I approached the journal because, again, going
9 back to the transmissible flu studies, it was not just the
10 creation of the transmissible flu virus that was considered
11 sensitive information. It was the details of the
12 technology which also was dual-use. So it's not
13 necessarily just the creation of the entity or the pathogen
14 that's gain-or-function or dual-use. The methodology can
15 be sensitive, and I felt that it was best that we did not
16 provide that information. So that's one example.

17 MS. SALAZAR: Okay.

18 DR. BARIC: The second example, which is the reason
19 why I'm reluctant to talk about it here, because I do not
20 want to be responsible as the one who releases it to the
21 public. If you all choose to do that then you can accept
22 the responsibility of that.

23 Sometimes in science you come across findings that are
24 potentially concerning. And so in this case, Fang Li had
25 been doing studies using biochemical approaches to identify



1 the most optimal receptor binding domain possible to grab
2 either the human ACE2 receptor or the mouse ACE2 receptor.
3 The thought in the field from him, as a biochemist, was
4 that would be the most optimal and most dangerous form of
5 virus. I disagreed with him, because in reality,
6 biochemical interactions are, in essence, a bell-shaped
7 curve. If they can't interact very well, the virus can't
8 get in. If they react too well, that interaction can't
9 come apart. So the virus can't get in, and the virus, as
10 it tries to release, gets caught up in what's called a
11 dominant negative effect, and it will prevent virus
12 replication.

13 And the reason I knew this is I had overexpressed
14 receptors before, with mouse hepatitis virus. And because
15 there was so much receptor there, the virus, as it got in,
16 it replicated fine, but when it came out all the spikes
17 were stripped off of the particle. So if the interaction
18 is too great it kills the virus.

19 Anyone who might want to do nefarious work in science,
20 wanted to create something, is going to think that the most
21 efficient interaction is where you want to go. So I tested
22 that hypothesis and showed that I was right, that the
23 super-binding things were just as deleterious as the really
24 poor-binding ones. I subsequently told NIH and destroyed
25 those viruses. I have never told anyone, and I would



1 recommend that this committee not release that, because
2 then you will be responsible for telling terrorists what to
3 do.

4 MS. SALAZAR: [REDACTED]

5 DR. BARIC: [REDACTED]

6 MS. SALAZAR: Are you aware of [REDACTED]
7 [REDACTED]
8 [REDACTED]?

9 DR. BARIC: That's a shock to me. No, I was not.

10 MS. SALAZAR: Okay.

11 DR. BARIC: Yeah. Again, this would have been --
12 there would never have been any sharing of data with him.
13 It would have been uncommon. In fact, it was surprising to
14 me that about a month ago he actually raised the topic with
15 me, "Do you think maybe that if we had super, high-affinity
16 binding that might not be good?" I just said I didn't --
17 yeah, so.

18 MS. SALAZAR: And those are the only two?

19 DR. BARIC: Yeah, those are the two examples.

20 MR. HENDERSON: What was the time frame on that second
21 example?

22 DR. BARIC: Oh, this was done around 2010 or '11.
23 Yeah, a long time ago.

24 MS. SALAZAR: Okay. Have you ever done any work with
25 the National Bioforensic Analysis Center, NBFAC?



1 DR. BARIC: I've been there.

2 MS. SALAZAR: When were you there?

3 DR. BARIC: Soon after they started that facility I
4 was there. I can't remember exactly why I was there. I
5 remember having a tour.

6 MS. SALAZAR: Have you ever done any work --

7 DR. BARIC: Uh --

8 MS. SALAZAR: So you did --

9 DR. BARIC: Yeah, if you have an email that suggested
10 I did, I'd appreciate it, because I don't want to be put in
11 the scenario where you're asking me to recall an event from
12 13 years back, and then I state it incorrectly.

13 MS. SALAZAR: Did you consult on a report that NBFAC,
14 or NBACC, as they call it, National Biodefense Analysis and
15 Countermeasures Center of DHS did on a final report for
16 severe acute respiratory syndrome, coronavirus-2 genomic
17 analysis on June 11, 2020?

18 DR. BARIC: I think this is an afternoon discussion.

19 MS. SALAZAR: It's not classified.

20 DR. BARIC: But it may have been associated with --

21 MS. SALAZAR: I can tell you it's definitely not
22 classified.

23 DR. BARIC: Yeah, but my answer might be.

24 MS. SALAZAR: Okay. Fair enough.

25 DR. BARIC: I'm uncertain.



1 MS. SALAZAR: I don't want you to say anything --

2 DR. BARIC: Here's the problem, is I'm uncertain, and
3 if I answer it and I'm wrong, then I have violated
4 something.

5 MS. SALAZAR: For just me, I just want everyone to
6 have on the record that the document that I am looking at
7 is unclassified. But I don't want you to tell us anything
8 that you're not sure about, so we'll save it.

9 MR. HENDERSON: Well, the email, as you said, is
10 unclassified, but whatever you ask about whatever the
11 answer --

12 MS. SALAZAR: I know. But I'm saying I have a
13 document that --

14 MR. HENDERSON: Yes, that's unclassified.

15 DR. BARIC: Will you let me look at the details of
16 that?

17 MS. SALAZAR: I mean, if you're not going to be able
18 to answer it right now I don't want to waste our time.

19 DR. BARIC: I'm talking about this afternoon. Yeah,
20 please.

21 MS. SALAZAR: Okay. I just want to make sure that we
22 get to everything. Early in 2020, did you ever have any
23 concerns about the safety of SARS-CoV-2 vaccines that were
24 being developed?

25 DR. BARIC: So coronavirus vaccines induce Th2 immune



1 pathology using killed vaccines plus alum. So I talked at
2 a WHO meeting early on in the pandemic to say that alum-
3 based vaccines, which have a tendency to -- I probably need
4 to explain what a Th2 immune response is.

5 MS. SALAZAR: We don't really have to. We'll look it
6 up.

7 DR. BARIC: Okay. So basically there's a massive
8 inflammatory response that has the potential to be life-
9 threatening, and the SARS coronavirus vaccines, from 2003,
10 that the NIH had purchased, were both adjuvanted with alum.
11 And we published papers showing that that drives a strong
12 Th2 immune response. So my concern, and I expressed this
13 to the WHO and to other groups, was that we needed to look
14 carefully for vaccine-induced immune pathology.

15 Ultimately, we published a paper with the VRC. That
16 was one of the experiments we set up to do, where we took
17 mRNA vaccines and we took killed vaccine preparations with
18 alum and compared them head-to-head for the ability to
19 induced Th2 responses. The mRNA vaccines did not cause it.
20 The recombinant protein, or the killed vaccines plus alum
21 caused a big Th2 response.

22 Now, the Chinese vaccines were killed vaccines with
23 alum, which I think was inappropriate. The mRNA vaccines,
24 though, were tested for that using homologous virus
25 challenge.



1 MS. SALAZAR: Okay. So at this point --

2 DR. BARIC: At that point, the downstream question is
3 whether if you have significant amounts of virus variation
4 and you're infecting the original Wuhan mRNA vaccine, will
5 breakthrough infection induce an immune pathology
6 phenotype, and those are the kinds of things we're
7 interested in studying now.

8 MS. SALAZAR: Okay. Let's see. William had a follow-
9 up question, so I'll let you do that one.

10 MR. HENDERSON: Back to the February 1st call, you
11 said that you did not recall and could not figure out how
12 you got added to that call. Was there any suggestion or
13 implication or direction to you not to speak up on that
14 call?

15 DR. BARIC: So again, I'm kind of surprised that they
16 didn't know I was there.

17 MS. SALAZAR: Did you introduce yourself?

18 DR. BARIC: I think so, yes. I think so, yeah. I
19 think we went around and introduced everybody in the room.
20 My problem is I couldn't find the link that told me exactly
21 how I got on that call, and I think it may have been a
22 personal, like a phone call, you need to be on this call,
23 here's the link, or something like that. But I can't find
24 a record of it, and I've looked incredibly hard.

25 MS. SALAZAR: Is there any chance that someone dialed



1 you in, patched you in?

2 DR. BARIC: No. No.

3 MR. HENDERSON: So there was no suggestion that you
4 should remain silent or not?

5 DR. BARIC: No. Again, I was silent because I had
6 sort of felt that maybe I caused the whole meeting to occur
7 because I had talked about it earlier. And I wanted to
8 hear what independent people thought. Otherwise, I could
9 drive the whole direction of the meeting, and that was not
10 right. At least that was my -- if it's flawed thinking,
11 then I apologize. But I don't think it was flawed
12 thinking.

13 MR. HENDERSON: And back to the DARPA proposal, had
14 you done any of the work that you were proposing to do
15 under that proposal prior to the application?

16 DR. BARIC: No. We've never dropped a furin cleavage
17 site into a coronavirus like that.

18 MR. HENDERSON: Were you aware of any of the other
19 applicants having done the work prior to?

20 DR. BARIC: Yeah, I think maybe we should take a
21 second and review the furin cleavage site, because you seem
22 to think it is the critical event that drove SARS-2 to be
23 pathogenic. So in the coronavirus literature, furin
24 cleavage sites have a mixed history. So in feline enteric
25 coronavirus, if that virus has a furin cleavage site it's



1 asymptomatic. It's an enteric disease. It's when you lose
2 the furin cleavage site that it becomes a fulminant, deadly
3 disease that is 100 percent fatal in cats. So loss-of-
4 function of that furin cleavage site kills you dead, the
5 cat.

6 In mouse hepatitis virus, a couple of researchers had
7 removed the furin cleavage site and said what happens to
8 the pathogenesis? There was no change.

9 Another group, taking pseudoviruses, had introduced
10 furin sites into the SARS-coronavirus 2003 strain, and the
11 only thing they found was slightly increased infectivity.
12 Nothing big. Nothing that said "eureka."

13 So now let's compare SARS from 2003 and SARS-2, and
14 let's ask the question, what experiments were done to prove
15 that the furin cleavage site drove severe disease in SAR-2
16 pathogenesis. What they did was a loss-of-function
17 experiment. They deleted those four residues that were
18 introduced by whatever means, and they asked what happened
19 to the virus. It was less pathogenic, and it was less
20 transmissible. Eureka. Furin cleavage site causes that
21 phenotype. Except when you look at the other figures in
22 the paper, where it shows that it also knocked down the
23 ability of other proteases to cleave at that S1/S2
24 boundary.

25 So it wasn't a furin cleavage site-specific knockout.



1 It was a global protease knockout. And that's what a loss-
2 of-function experiment does. The only way to really prove
3 it is to take a virus that doesn't have a furin cleavage
4 site and stick it in. That's gain-of-function. It's
5 causality. You know for a fact.

6 I can make the argument in this room, and I can argue
7 it in front of scientists, that the introduction of the
8 furin cleavage site in SARS-2 attenuated its pathogenesis
9 and increased its transmissibility, and that was to its
10 benefit. And that's not what you hear.

11 I've looked at a bunch of these different protease
12 studies that people did, where they knocked out the
13 sequence. They were making global proteolytic cleavage
14 knockouts on the spike. And if you don't cleave S1/S2
15 efficiently, you knock down replication and pathogenesis.

16 I also say that SARS-coronavirus-2 had the same R rate
0
17 that SARS coronavirus 2003 had, early in the pandemic.
18 They both transmitted efficiently. The difference was one
19 transmitted very late only, after severe disease occurred -
20 - that was 2003 -- and in 2019, that strain transmitted
21 before you got disease. And that's why it's a pandemic and
22 the other one was not.

23 Sorry, I know that went long. You're probably mad at
24 me.

25 MS. SALAZAR: No, I'm mad at him.

1 DR. BARIC: But I think it was important to say in
2 this meeting that be careful what you read in an abstract,
3 or even what you hear from the scientific community,
4 because they're not always right. There is uncertainty in
5 a lot of things, and this particular issue has uncertainty
6 around it.

7 MS. SALAZAR: Fair enough. How well did you know Dr.
8 Fauci? In his deposition with the House he claimed to
9 barely have known you.

10 DR. BARIC: I met him, or interacted with him,
11 basically, four times. The first time was the NIH was
12 putting together a meeting on MERS coronavirus to help
13 provide or articulate a strategy for NIH to move forward to
14 help protect the public against a future pandemic. As one
15 of the organizers of that meeting I met him at the meeting,
16 where he gave the introductory talk for five minutes. Then
17 he left the meeting in the first presentation. So 10
18 minutes, maybe, before the meeting I talked with him.

19 Then, as part of the Red Dawn group, which you must be
20 aware of, there was the Princess, Princess Diamond I think
21 was the name of the cruise ship, where the U.S. government
22 was trying to bring back the passengers from that cruise
23 ship. And on the Red Dawn call Dr. Fauci was there,
24 Redfield was there, and we had a long discussion about how
25 to safely bring them back by air travel.



1 There was a lot of discussion about whether aerosol
2 transmission was possible, and in the 2003 outbreak,
3 toilets can result in aerosolized feces, and in Hong Kong
4 it infected, I don't know, 400 people in a single apartment
5 complex, and the plume spread across to two other apartment
6 complexes. So if you think about the toilets in airplanes,
7 the first thing you think of is you worry about potential
8 aerosol and affecting everybody on the plane. So that was
9 the discussion. That lasted about an hour.

10 I did participate in that call and discussion. So did
11 he. My understanding is they put in barriers to prevent
12 aerosolization from the bathrooms, based on that call.

13 Then the next time was in his office, on the 13th or
14 the 11th, sometimes between the 11th and 13th.

15 So those are the times. So I personally think his
16 comments are pretty accurate. Let's be clear.

17 Coronaviruses were not the lead pathogen that caught the
18 interest of the director of the NIH probably until 2019.

19 MS. SALAZAR: Okay. But had you emailed with him back
20 and forth? Surely he knew who you were. Was it your
21 understanding that he requested the meeting with you on the
22 11th or 13th?

23 DR. BARIC: I'm sure that once somebody gave him the
24 paper, he wanted to talk to me. But again, you know, I
25 have trouble remembering names. The number of people he



1 meets has got to exceed what I do by at least 100-fold. So
2 I would be shocked if he felt that he knew me closely,
3 because that's not true. That's just not true.

4 MS. SALAZAR: Did you have a copy of RaTG, the genome
5 before 2020?

6 DR. BARIC: No. Yeah, so that was first published in
7 2013 as a sequence fragment, that I didn't notice. And
8 then they came out with a sequence, I think, in, what,
9 2017, 2018, somewhere around there. It did not catch my
10 notice. I mean, oftentimes, every once in a while we do
11 searches on sequences, to see if there's anything
12 interesting out there that we might want to work on. But
13 it didn't catch our attention. So it didn't come to my
14 attention fully until they published their paper in January
15 of 2020.

16 And so I agree with people like Elaine Chen and others
17 that have looked at the sequence information around that
18 virus and say that I don't know. Like she has reported, we
19 could not recreate the full-length sequence that they
20 provided, based on the sequence information that they
21 provided. There were gaps, in other words. It was not
22 complete. Some of it was very good, where they had good
23 coverage, so they had a lot of coverage of that particular
24 chromosome and then they cloned out a specific plasma DNA
25 of that region and sequenced it. So there are regions that



1 are really good and the spike is really good.

2 Polymerase has gaps in it. We can't close those gaps
3 based on their sequence, using all kinds of different
4 program to try to do it. Now, they may have an in-house
5 program that they used, but personally I think they used a
6 reference sequence and they guessed, to fill the gaps.

7 That's my gut feeling.

8 MS. SALAZAR: And I had asked you if you had ever not
9 published something. So that's part of what happened in
10 this case. I understand it was partially uploaded and then
11 it was under embargo. So have you ever had a sequence or
12 something under embargo that then you withdrew? I just
13 want to make sure that my initial question of you didn't
14 publish anything is complete, because I understand that you
15 can submit something.

16 DR. BARIC: You can pull it off, yeah. I don't
17 believe we have ever, no. I mean, why would we? We were
18 using public sequences to build viruses. It's not like we
19 were doing this de novo.

20 MS. SALAZAR: Okay.

21 DR. BARIC: Just to let you know, we have ordered a
22 molecular clone for RaTG13. That genome is heavily
23 debilitated. We eventually isolated it. We have not
24 finished submitting the paper.

25 MS. SALAZAR: Okay. And when did you isolate it?



1 DR. BARIC: Maybe two and a half years ago.

2 MS. SALAZAR: Okay. Do you think that Dr. Shi and WIV
3 had the technical capability of doing the work proposed in
4 DEFUSE on their own?

5 DR. BARIC: With WIV1, absolutely.

6 MS. SALAZAR: Okay.

7 DR. BARIC: I mean, they had the molecular clone.

8 MS. SALAZAR: So they couldn't have.

9 DR. BARIC: Yeah. They had a molecular clone.
10 They've dropped different spike genes in it. So it's the
11 question of basically, I don't know, I'd have to look at
12 the S1/S2 junction. But it would be three or four amino
13 acids that they'd have to drop in. They certainly would
14 have that technology.

15 MS. SALAZAR: And one last question before we have to
16 break. Do you find it odd that the WIV database went down
17 when it did?

18 DR. BARIC: I mean, if they really wanted to
19 conclusively demonstrate that the virus did not come out of
20 the laboratory, they could have made that available. The
21 problem is for example, is they may have things in there
22 that they don't want to share, and the immediate thing that
23 comes to mind is the Mojiang mine in Yunnan, where six
24 miners were infected with virus. Two reports from Chinese
25 researchers say it's a SARS coronavirus-like virus. Some



1 of those patients were in the acute phase, and the Chinese
2 are too good not to have sequenced those viruses.

3 MS. SALAZAR: And it went down before, right? I can't
4 remember.

5 DR. BARIC: They may not have published them. But the
6 important thing for the U.S. government to realize is they
7 are as good as us in recombinant DNA technology. It is no
8 longer the U.S. is massively in the lead. So their deep
9 sequencing capability is second to none. Their capacity to
10 sequence at least some of those viruses from those patients
11 should have been done.

12 Now, they claimed that it's a fungal infection.
13 Fungal infections can occur after acute infection. The
14 virus has cleared and then you get a fungal infection.
15 Okay. So they didn't lie. They just didn't tell the whole
16 truth.

17 MS. SALAZAR: Probably.

18 DR. BARIC: So they may have sequences of those
19 viruses, and then you might say, eureka, those are the
20 SARS-2 strains, but they didn't transmit. They were super
21 virulent, and they did not transmit. So they might have
22 things in there that they feel are very sensitive, and
23 their government may feel that are very sensitive. So
24 yeah, I would've liked to have seen it, certainly. But
25 again, I think anybody thinking logically from a national



1 security point of view and national sovereignty, that they
2 may lock it down. I don't think it was the right thing to
3 do. I think they should have made it available.

4 MS. SALAZAR: Okay.

5 MR. LAMBETH: Are we about to go off?

6 MS. SALAZAR: Yeah.

7 MR. LAMBETH: Just one thing before we go off the
8 record. We did check UNC's records and there is no record
9 of Autonomous Therapeutics funding the Baric Lab.

10 MS. SALAZAR: Okay, thank you. I appreciate that.
11 Okay. We'll go off the record. We're going to take a
12 break. We will move. You will be read in, and then we
13 will go back on the record.

14 [Whereas, at 12:04 p.m., the unclassified interview
15 was concluded.]

16

17

18

19

20

21

22

23

24

25



Interview with Dr. Ralph Baric – April 10, 2026

Committee Errata

Page	Line	Correction
2	11	Insert “Committee” after “Affairs”
3	3	Change Exhibit No. 1 to page 46
3	4	Change Exhibit No. 2 to page 84
3	5	Change Exhibit No. 3 to page 117
3	5	Strike “Menarchery” and insert “Menachery”
3	5	Exhibit 3 change “Re: DARPA Project”
10	6	Insert “time” after “first”
13	18	Insert “that was the” after “so”
14	22, 23, 24	Strike “CisVax” and replace with “CISVax”
16	24	Strike “Eric” and replace with “Erik”
17	10	Strike “. You had to have” and replace with “without”
19	5-6	Strike “SARS-COVID-2” and insert “SARS-CoV-2”
21	4	Insert “have” after “didn’t”
22	5	Strike “RO1” and insert “R01”
25	20	Insert “one,” before “to”
25	21	Insert “the” after “further”
33	16	Change “Freeman” to “Freiman”
33	23	Strike end quote and insert “--” ” after “and”
41	10	Change “Freeman” to “Freiman”
42	25	Strike “that’s”
43	15	Insert “Review” after “Research”
44	11	Strike “Mr. Kazenoff” and insert “Ms. Krynen”
47	8	Insert “to” after “attempt”
47	20	Insert “ ” She says, “ ” after “?”
56	7	Strike “.” and insert “?”
56	13	Insert “to me it’s” after “so”
56	14	Strike “to me”
61	1	Strike “You” and insert “And you”
63	17	Strike “in” and insert “on”
64	22	Strike “NASM” and insert “NASEM”
66	4	Strike “so”
74	3	Strike “.” and insert “?”
76	3	Missing exhibit, proton email to Cohen <#25209.1>
76	14	Strike “Mr. Kazenoff” and insert “Ms. Krynen”
77	24	Strike “they” and insert “the”
89	8	Strike “.” and insert “?”
99	14	Strike “.” and insert “?”
104	12	Strike “,”
104	13	Strike “remembered” and insert “to remember”
104	13	Strike “was”

105	8	Strike “DIFFUSE” and insert “DEFUSE”
105	14	Strike “.” and insert “?”
106	8	Strike “original” and insert “origin”
110	16	Insert “lifting of the” after “The”
112	10	Strike “Pandemic Prevention Path, the platform P3” and insert “Pandemic Prevention Platform, the P3 program”
117	8	Strike “Menarchery” and insert “Menachery”
117	20-21	Strike “DPPR 288/330” and insert “DPP4 288/330”
118	10	Strike “a”
118	18	Strike “find” and insert “fine”
120	13	Insert “if” after “,”
122	6	Strike “encourage” and insert “encouraged”
124	8	Strike “his” and insert “this”
125	16-17	Strike “syndrome, coronavirus-2” and insert “syndrome coronavirus 2”
126	9	Strike “Mr. Henderson” and insert “Mr. Ervin”
126	13	Strike “--” and insert “is unclassified”
126	14	Strike “Mr. Henderson” and insert “Mr. Ervin”
134	4	Strike “RaTG” and insert “RaTG13”
134	16	Strike “Elaine” and insert “Alina”
136	8	Strike “couldn’t” and insert “could”

Errata Provided by Counsel to Mr. Baric

1. Page 1 – I should be identified as “Senior Partner,” as opposed to “Senior *Counsel*.”
2. Page 3, line 5: “Menarchery” should read “Menachery”
3. Page 13, line 12: “affected” should read “effective”
4. Page 21, line 4, It should read, “To your knowledge, he didn’t *have* an EcoHealth employee...”
5. Page 33, line 16: “Freeman” should read “Frieman”
6. Page 41, line 10: “Freeman” should read “Frieman”
7. Page 41, line 23: “Genentech” should read “GemPharmatech”
8. Page 45, line 16, It should read, “I had *no* reason to disbelieve them...”
9. Page 53, line 16, It should read, *Again*, that’s a decision...”
10. Page 58, lines 17-24, Per line 23, the committee agreed to redact mention of [REDACTED] name.
11. Page 64, line 22: “NASM” should read “NASEM”
12. Page 98, line 15, “...which has never been *shown*...”
13. Page 98, line 25: “except” should read “excepted”
14. Page 108, line 25: “equivocally” should read “unequivocally”
15. Page 117, line 8: “Menarchery” should read “Menachery”
16. Page 118, line 15: “is it” should read “it is”
17. Page 118, line 19, “...I put a *mark* on your paper.”
18. Page 121, line 14: “their” should read “the”
19. Page 121, line 19: “job” should read “lab”
20. Page 122, line 18 through Page 124, line 23, We’d asked that the whole discussion be deleted on national security grounds.
21. Page 131, an “errant” “O” in the middle of the page.
22. Page 135, line 4, “programs.”
23. [Missed the page and line] “Elaine Chen” is referenced once, and should read “Alina Chan”

From: Baric, Ralph S[/O=UNC EXCHANGE/OU=EXCHANGE ADMINISTRATIVE GROUP (FYDIBOHF23SPDLT)/CN=RECIPIENTS/CN=RBARIC]
Sent: Sat 11/7/2015 1:10:30 PM (UTC-05:00)
To: 石正丽 [zlshi@wh.iov.cn]
Cc: Menachery, Vineet D [REDACTED]@email.unc.edu]
Subject: RE: URGENT - please acknowledge USAID PREDICT and our NIAID grant in the paper with Ralph Baric

Hi Zhengli, Was work performed under these grants? Ralph

From: 石正丽 [mailto:zlshi@wh.iov.cn]
Sent: Saturday, November 07, 2015 2:25 AM
To: Baric, Ralph S
Cc: Menachery, Vineet D
Subject: Fw: URGENT - please acknowledge USAID PREDICT and our NIAID grant in the paper with Ralph Baric

Dear Ralph,

Congratulations again for the Nature Medicine paper.

You may have read the email sent by Peter. I didn't think about the acknowledgement number of USAID-PREDICT and NIAID grant because Peter is not the coauthor of the paper. As my funding is partly supported from EHA, it'll be appreciated if you can add these two projects in the acknowledgements.

Sorry to bring you this inconvenience.

Best regards,

Zhengli,

P.S., The tentative date of our meeting in Wuhan will be held from 19th to 22th October, 2016. Much appreciated if you can reserve these dates for this meeting.

-----原始邮件-----

发件人: "Peter Daszak" <daszak@ecohealthalliance.org>

发送时间: 2015年11月7日 星期六

收件人: zlshi <zlshi@wh.iov.cn>, "Aleksei Chmura" <[REDACTED]@ecohealthalliance.org>, "Alison Andre" <[REDACTED]@ecohealthalliance.org>

抄送:

主题: URGENT - please acknowledge USAID PREDICT and our NIAID grant in the paper with Ralph Baric

Zhengli,

I know there's a paper coming out next week. Can you make sure that USAID-PREDICT and our NIAID grant are listed in the acknowledgements!!??

Even if proofs have already been corrected, they'll be able to change it online, so please contact Ralph and the Editor if you can

Cheers,

Peter

Peter Daszak

President

EcoHealth Alliance
460 West 34th Street – 17th Floor
New York, NY 10001

[REDACTED] (direct)

[REDACTED] (fax)

www.ecohealthalliance.org

EcoHealth Alliance leads cutting-edge research into the critical connections between human and wildlife health and delicate ecosystems. With this science we develop solutions that promote conservation and prevent pandemics.

Message

From: Fang Li [REDACTED]@umn.edu]
Sent: 10/25/2018 9:07:54 PM
To: Baric, Ralph S [rbaric@email.unc.edu]
Subject: Re: recommendation letter

Hi Ralph,

I would appreciate it if you could send the letter sometime next week.

Best,
Fang

On Fri, Oct 26, 2018 at 1:44 AM, Baric, Ralph S <rbaric@email.unc.edu> wrote:

Hi Fang, I would be delighted to do this. Any idea of the timeline for this? Nice seeing you in WuHan. ralph

From: Fang Li <[REDACTED]@umn.edu>
Sent: Wednesday, October 24, 2018 10:45 PM
To: Baric, Ralph S <rbaric@email.unc.edu>
Subject: recommendation letter

Dear Ralph,

It was nice chatting with you in Wuhan. My student Qibin has emailed you the information about PEDV. My postdoc Chuming will email you the information about the MERS-like CoV 422 soon.

On a separate note, I wonder whether you could write a recommendation letter for me and send it to Johns Hopkins University (who is looking for a cryo-EM person and shows interest in me). Please email the letter to: Shirley J. Crow, [REDACTED]@jhmi.edu. I would appreciate it very much.

Please see attached my updated CV.

Best,
Fang

--

Fang Li, Ph.D.
Associate Professor
Department of Veterinary and Biomedical Sciences
University of Minnesota Twin Cities
[REDACTED]@umn.edu
[REDACTED]

--

Fang Li, Ph.D.
Associate Professor
Department of Veterinary and Biomedical Sciences
University of Minnesota Twin Cities

[REDACTED]@umn.edu

Message

From: Menachery, Vineet [REDACTED]@UTMB.EDU]
Sent: 3/7/2019 11:46:47 PM
To: Baric, Ralph S [rbaric@email.unc.edu]
CC: Paul Leon [REDACTED]@autonomoustherapeutics.com]; Timothy Notton [REDACTED]@autonomoustherapeutics.com]; Ariel Weinberger [REDACTED]@autonomoustherapeutics.com]; Baric, Toni C [REDACTED]@med.unc.edu]
Subject: DARPA Project

Ralph,

I have been working on a DARPA proposal with Autonomous Therapeutics on MERS-CoV with a quick turn around.

Ariel and Pau (CCed) are funded for another DARPA project to generate therapeutic interfering particles and are looking to apply their approach for MERS on this specific supplement call.

We have been chatting for a few weeks and they are interested in both in vitro and in vivo experiments with their MERS-CoV targeted TIPs. I am currently planning to work with them on the in vitro side, but suggested they talk directly with you about the DPP4 288/330 model.

I do not think my lab has the capacity to do the in vivo mouse experiments and they prefer the 288/330 model. If the TIPs work in mice, we would extend the work to marmosets at UTMB.

They will contact you directly and I know they are on a short turn around time; I cced Toni as well to see if you'd have time in your schedule. I'll be doing harvests in the morning, so no need to include me on any of the calls.

Thanks

VDM

From: Jeremy Farrar <[REDACTED]@wellcome.ac.uk>

To: Edward Holmes <[REDACTED]@sydney.edu.au>

Cc: "Kristian G. Andersen" <[REDACTED]@scripps.edu>, "Fauci, Anthony (NIH/NIAID) [E]" <afauci@niaid.nih.gov>

Subject: Re: The authors who wrote the paper saying that SARS-CoV-2 is not human engineered first tried convincing Anthony Fauci of the opposite.

Date: Tue, 28 Jul 2020 03:36:51 -0400

Importance: Normal

Thanks Eddie.

I will recheck emails and phones, I will try and do that today.

I think it really starts on the 8/9th January and the calls you and I had with China and the original sequence.

And others were also on those calls – Francis Collins, Mike Ferguson, Patrick Vallance.

I would suggest we get the sequence of events absolutely right before replying.

Best wishes Jeremy

From: Edward Holmes <[REDACTED]@sydney.edu.au>

Date: Tuesday, 28 July 2020 at 08:30

To: Jeremy Farrar <[REDACTED]@wellcome.ac.uk>

Cc: "Kristian G. Andersen" <[REDACTED]@scripps.edu>, "Fauci, Anthony (NIH/NIAID) [E]" <afauci@niaid.nih.gov>

Subject: Re: The authors who wrote the paper saying that SARS-CoV-2 is not human engineered first tried convincing Anthony Fauci of the opposite.

Hi Jeremy,

Here is the exact time-line which I have now checked.

1. Jan 26. You call me (I was in Switzerland) to talk about some concerns coming out the US that the virus might be a lab escape. Patrick Vallance might have been on that call, I can't recall. You later forward me an email from Marc Lipsitch and others containing some comments from Richard Ebright. I take a quick look at the sequence and say that I saw no evidence for lab escape in SARS-CoV-2 because it's pattern of variability was the same as in RaTG13.

2. Jan 31. Kristian contacts me to say that he has spotted some strange things in the issue - specifically the furin cleavage site and restriction sites - that we was concerned about. Given our conversation earlier that week, I called you and informed you of Kristian's findings. We then decided to have a broader discussion with key parties on this ASAP. I think Kristian told Tony at this point but he can confirm. You and I then decided that Ron Fouchier, Christian Drosten and Marion Koopmans would be good to include. Christian also wanted Stephan Pollman involved.

3. Feb 1 (6 am on Feb 2 for me). We have the conference call and then start an email chain about how we should deal with this. Writing it up for a paper was on the agenda and discussed. I have all the emails on this.

For Tony's benefit a revised draft of the email to Jon is pasted below.

I can't for the life of me see what we have done wrong here. I strongly believe we have just tried to get on top of a very vexing question as quickly and openly as possible.

Cheers,

Eddie

Hi Jon,

Here are the facts:

1. In early Feb we had spotted some features in the SARS-CoV-2 genome that at the time appeared unusual - particularly the furin cleavage site and the receptor binding domain.
2. At this stage we thought it was wise to ask for other expert's opinions on this, so a conference call was arranged. There were indeed other coronavirus experts on the call. It is worth pointing out that the senior author on our paper - Bob Garry - has published a significant number of papers on coronaviruses, including on the SARS spike protein, and even commented on this on the virological.org website prior to the call taking place (<https://virological.org/t/analysis-of-wuhan-coronavirus-deja-vu/357>). Importantly, our study was an evolutionary study based on genomic information, which is the only way to investigate the origins of SARS-CoV-2 - we believe all the authors on our paper have a strong demonstrated record in answering exactly those types of questions for a multitude of viruses.
3. Clearly, some people on the call were very strongly of the opinion that the possibility of a lab escape was ridiculous and listed reasons why it should be dismissed out of hand (although there was also some initial confusion about whether we were referring to the crazy HIV origins theory that had just been touted - obviously we were not). Some of those comments we agreed with, others we did not. A take-home message from the call was that we should investigate further and write a scientific paper to clearly set-out the background on the topic and our findings. Indeed, one of the emailed agenda items for discussion after the call was "Advice on whether KA, AR, RG and EH should publish this".
4. We eventually wrote up our findings as a scientific (peer reviewed) paper. Critically, drafts of this paper were sent to all the people on the call, including those with the information that has been emailed to you. We have attached our first draft of what would eventually become our paper from Feb 7, which was circulated to everyone on the call. As you can see, it is essentially the basis of our final study and people on the call commented on it.
5. Very shortly after the call, the pangolin data came out. This was critical and as Eddie wrote in an email to everyone on the call on Feb 9th:

"Personally, with the pangolin virus possessing 6/6 key sites in the receptor binding domain, I am in favour of the natural evolution theory."

and Andrew Rambaut replied to this stating:
"I am of the view that the natural selection hypothesis is the most likely (specifically the non-bat reservoir). And as Eddie mentioned this is becoming more likely from day to day with the pangolin story."
6. Hence, it is completely and utterly false to claim that we (1) all thought it was a lab escape, (2) that we were corrected ("schooled") in our views by the coronavirus experts on the call, and (3) then submitted a Nature paper without anyone else knowing about it. The truth is that we had a range of views among us, our paper included the pangolin data that was not available at the time of the call, and we circulated drafts of our document to everyone.
7. We categorically deny that we were "spreading the rumor" that the virus was human engineered. At the time, there were indeed rumours - which persists to this day - that SARS-CoV-2 was an engineered virus, but these certainly did not

Released by Chairman Rand Paul

come from us. As you know, the White House OSTP asked for expert opinions on this question too (spurred by the HIV nonsense preprint), and Kristian was part of that panel (<https://www.the-scientist.com/news-opinion/lab-made-coronavirus-triggers-debate-34502>). Our study directly addressed these rumours in a scientific way by considering that a lab escape could have occurred. We did not dismiss this possibility out of hand, but we scientifically investigated it.

We strongly reject the idea that we should not have raised nor discussed the possibility of lab escape: as scientists we have to present all the data and discuss it openly. That's what we did. To not have considered or mentioned the possibility of a lab escape would have been negligent. Is the person who emailed you seriously suggesting that we should not have discussed these issues? Wouldn't that be a cover-up? Indeed, the great irony is that 99.9% of the feedback we have received on our paper - including death threats - are people accusing us of dismissing the lab escape theory too quickly. Can you imagine if we had not mentioned - or considered - it all as suggested by some "coronavirus experts"?

This clearly appears to be a case of sour grapes based on half-truths and likely stimulated by your recent (great) article with quotes from us on the questions you raised with Dr. Zhengli. It's telling that the person who emailed you is anonymous. We have absolutely no problem with people knowing that our views on this issue have evolved as more data have appeared - and continues to evolve to this day, should more data become available. That's science. And it's the only way to do it well. Indeed, we have told this to many people: the way we set this up was a study of alternative hypotheses equally weighted priors, which we tested - our posterior clearly favors the hypothesis that this is a natural virus. As far as we can tell we are only 'guilty' of following the proper scientific method - but maybe we offended an ivory tower "coronavirus expert" in the process. It likely won't be the last time.

Best,

Eddie and Kristian

PROFESSOR EDWARD C. HOLMES FAA FRS

ARC Australian Laureate Fellow

THE UNIVERSITY OF SYDNEY

Marie Bashir Institute for Infectious Diseases & Biosecurity,
School of Life & Environmental Sciences and School of Medical Sciences,
The University of Sydney | Sydney | NSW | 2006 | Australia

T

E

[\[REDACTED\]@sydney.edu.au](mailto:[REDACTED]@sydney.edu.au)

On 28 Jul 2020, at 4:54 pm, Jeremy Farrar <[\[REDACTED\]@wellcome.ac.uk](mailto:[REDACTED]@wellcome.ac.uk)> wrote:

Thanks for forwarding this and the other emails.

I would like to get the sequence of events absolutely right from the start. Eddie the start goes back to the calls you and I had on the 8/9th January.

Can we get that sequence of events right and agreed before a substantive reply goes back to Jon?

Jeremy

On 28 Jul 2020, at 02:07, Kristian G. Andersen <[\[REDACTED\]@scripps.edu](mailto:[REDACTED]@scripps.edu)> wrote:

Dear Tony,

Released by Chairman Rand Paul

I am sorry to be contacting you, as I know you have critically important priorities, including developing a vaccine for COVID-19. **We just received the email below from Jon Cohen** (from Science) about our conversations back in February investigating the **origins of SARS-CoV-2**. As you know, we considered the theory that SARS-CoV-2 could have been a lab escape and therefore did what any good scientist should do - investigate likely hypotheses and let the data decide. As you know, the data strongly suggests that this is a natural virus and clearly this person gets a lot of things wrong about how this all played out.

We need to reply back to Jon, which would have to include confirming that this meeting did indeed take place with you and Jeremy present. Please let me know if you have any comments or concerns in this regard.

At the very end of this email, I have added a draft email that Eddie put together. I have a few clarifying points that I will add and then Eddie and I will reply back to Jon.

Again, sorry to take up your time - please let me know if you have any comments, questions, or concerns. **We are planning to email Jon tomorrow afternoon.**

Best,
Kristian

Kristian G. Andersen, PhD
Professor | Scripps Research
Director of Infectious Disease Genomics | Scripps Research Translational Institute
Vice President | Viral Hemorrhagic Fever Consortium
Principal Investigator | Center for Viral Systems Biology
Principal Investigator | West African Emerging Infectious Disease Research Center

The Scripps Research Institute
10550 North Torrey Pines Road, SGM-300A
Department of Immunology and Microbial Science
La Jolla, CA 92037

p: [REDACTED]
t: [REDACTED]
e: [REDACTED]@scripps.edu
w: www.andersen-lab.com

Assistant: [REDACTED], [REDACTED]@scripps.edu

Image removed by sender.

----- Forwarded message -----

From: Jon Cohen <[REDACTED]@aaas.org>

Date: Mon, Jul 27, 2020 at 3:02 PM

Subject: Re: The authors who wrote the paper saying that SARS-CoV-2 is not human engineered first tried convincing Anthony Fauci of the opposite.

To: Kristian G. Andersen <[REDACTED]@gmail.com>, Edward Holmes <[REDACTED]@sydney.edu.au>

Here's what one person who claims to have inside knowledge is saying behind your backs...

Jon

On Jul 25, 2020, at 7:22 AM, ofu8ledu8z <ofu8ledu8z@protonmail.com> wrote:

[EXTERNAL EMAIL]

Hello Jon

Given your recent mentions of the origin of SARS-CoV-2 I thought you might be interested to hear the bizarre back-story of the paper "The proximal origin of SARS-CoV-2" (<https://www.nature.com/articles/s41591-020-0820-9>).

In summary, four of the authors managed to organize a conference call with Anthony Fauci and others, after quietly raising the alarm (or "spreading the rumor", as Jeremy Farrar apparently put it) that the virus **WAS** in fact human engineered. On the call were two world-class virologists who actually work on coronaviruses, who set them straight in great detail. That seemed to be the end of the affair.

But, incredibly, Andersen et al. turned around and submitted the Proximal paper to *Nature* with the exact opposite claim, i.e., that the virus was **NOT** human engineered. They used (without acknowledgment, of course) all the arguments provided by the coronavirologists on the initial call in which they had tried to raise the human-engineered alarm.

I don't think it would be too hard to verify all this, if you feel like digging a little. If you're wondering if this could all possibly be true: ask yourself how this group of authors, none of whom work on coronaviruses, could have such detailed arguments about why SARS-CoV-2 was not human-engineered. The answer is that they couldn't (and didn't) - they were schooled by the coronavirus experts on the call.

For the phone conference, Anthony Fauci called in Jeremy Farrar (Director of the Wellcome Trust). Farrar asked the coronavirus experts to join the call to listen to the claims. The call took place on a Saturday in early February (either the 1st or 8th, I'm not sure but I could probably find out). On the call making the claim were: Kristian G. Andersen, Andrew Rambaut, Edward C. Holmes, Robert F. Garry, but not Ian Lipkin.

The coronavirus experts listened for a while and both quickly concluded that the reasoning was completely flawed, that the non-coronavirus virologists had no idea what they were talking about, and that the human-engineered claim was totally wrong. One of the coronavirus experts was entertaining guests that day and told the people on the conference call that they wanted to give their opinion and then go back to the guests. So they told them it was nonsense, gave them a list of reasons why, and got off the call. The other coronavirus expert stayed on the call, gave a similar opinion and the morning afterwards sent a detailed list of the reasons why the claim was certainly wrong.

After the paper with the exact opposite claim was received at *Nature*, senior editor, Clare Thomas sent it out for review to some of the best people in the world... Not surprisingly, this happened to include a very close colleague of one of the experts who had been on the conference call. You can perhaps imagine the shock. Thomas was quickly appraised of the situation and *Nature* rejected the paper. It was then sent to *Nature Medicine*, where it was soon published.

One author on the paper was not on the conference call: Ian Lipkin. It's not clear how much of the back-story he is aware of. It might be worth giving him a call to ask, in case you feel like investigating. If his co-authors left him in the dark as to what actually happened and he's worried about the possible fallout he may want to help.

I apologize for mailing you without revealing my name (at least for now). I work in the field and have heard this story from two people who were on the initial call with Fauci. I'm not keen to be personally involved, but I find the situation so outrageous, hypocritical, and shameless that I also find I can't keep silent. It doesn't change anything with respect to knowledgeable thinking about the origin of the virus, of course, but it's a pretty ugly situation that I (obviously) think should be exposed.

----- EMAIL REPLY DRAFT -----

Hi Jon,

Here are the facts:

Released by Chairman Rand Paul

1. In early Feb we had spotted some features in the SARS-CoV-2 genome that at the time appeared unusual - particularly the furin cleavage site and the receptor binding domain.
2. At this stage we thought it was to wise ask for some other expert opinion on this, so a conference call was arranged. There were indeed some coronavirus experts on the call who we chose.
3. Clearly, some people on the call were very strongly of the opinion the possibility of a lab escape was ridiculous and listed reasons why it was unlikely (although there was also some initial confusion about whether we were referring to the crazy HIV origins theory that had just been touted - obviously we were not). Some of those comments we agreed with, others we didn't. There as a long email discussion about what the data said. A take-home message from the call was that we should go away and write something to clearly set-out the background science on the issue.
4. So, we eventually wrote up a paper. Critically, however, drafts of this paper were sent to all the people on the call, including those that have leaked out the information. I've attached here the draft of the document from Feb 7 that was circulated to everyone. As you can see, it is essentially the basis of the document and people on the call commented on it.
5. Very shortly after the call the pangolin data came out. This was critical. As I wrote in an email to everyone on the call on Feb 9th:

"Personally, with the pangolin virus possessing 6/6 key sites in the receptor binding domain, I am in favour of the natural evolution theory."

6. Hence, it is completely and utterly false to claim that we all thought it was a lab escape, we were corrected in our views by the coronavirus experts on the call, and then submitted a Nature paper without anyone else knowing about it. The truth is that we had a range of views among us, our paper included the pangolin data that was not available at the time of the call, and we circulated drafts of our document to everyone.

I also strongly reject the idea that we should not have raised nor discussed the possibility of lab escape: as scientists we have to present all the data and discuss it openly. That's all we did. To have not mentioned the possibility of lab escape would have been negligent. Is the person who emailed you seriously suggesting that we should have not discussed these issues? Wouldn't that be a cover-up? Indeed, the great irony is that 99.9% of the feedback I've had on the paper - including death threats - are people accusing me of dismissing the lab escape theory too quickly!! Can you imagine if we had not mentioned it all?

This is clearly just case of sour grapes based on some half-truths. It's telling that the person who emailed you is anonymous. I've absolutely no problem with people knowing that my views on this issue have evolved as more data have appeared. That's science. Indeed, I've told this to many people: the way see it is that we set-up an hypothesis and then tested it. As far I can tell we are only 'guilty' of following the proper scientific method.

Hope this helps.

Eddie

<Summary.Feb7.pdf>